

## **Transcript of June 14, 2001 Meeting**

*Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.*

00001

1

2

3

HEALTH CARE FINANCING ADMINISTRATION

4

Medicare Coverage Advisory Committee

5

Executive Committee Meeting

6

7

8

9

10

11

June 14, 2001

12

13

Baltimore Convention Center

14

One West Pratt Street

15

Baltimore, Maryland

16

17

18

19

20

21

22

23

24

25

00002

1

Panelists

2

3

Chairperson

4

Harold C. Sox, M.D.

5

6

Voting Members

7

Leslie P. Francis, J.D., Ph.D.

8

Robert L. Murray, Ph.D.

9

Alan M. Garber, M.D., Ph.D.

10

Frank J. Papatheofanis, M.D., Ph.D.

11

Ronald M. Davis, M.D.

12

Joe W. Johnson, D.C.

13 Thomas Holohan, M.D.  
14 Barbara J. McNeil, M.D.  
15  
16 HCFA Liaison  
17 Sean R. Tunis, M.D., M.Sc.  
18  
19 Industry Representative  
20 Randel E. Richner, M.P.H.  
21  
22 Executive Secretary  
23 Constance Conrad, R.N.  
24  
25

00003

1 TABLE OF CONTENTS

2 Page

3 Opening Remarks

4 Sean R. Tunis, M.D., M.Sc. 7

5 Charge to the Committee

6 Harold C. Sox, M.D. 9

7 HCFA Presentation on FDG-PET Alzheimer's disease and  
8 dementia

9	Carlos Cano, M.D.	11
10	AHRQ Presentation	
11	Deborah Zarin, M.D.	29
12	Scheduled Public Comments	
13	Marilyn S. Albert, M.D.	48
14	Committee Discussion	81
15	Open Public Discussion	None
16	Discussion and Conclusions	110
17		
18		
19		
20		
21		
22		
23		
24		
25		
00004		
1	TABLE OF CONTENTS (Continued)	
2	Lunch	132
3	Summary of Medical Devices and Prosthetics Panel	

4	findings - Ambulatory Blood Pressure Monitoring	
5	Harold C. Sox, M.D.	132
6	Open Public Comments	160
7	Discussion and Decision Concerning Panel	
8	findings	176
9	Explanation of Recommendations for Evaluating	
10	Effectiveness that provide guidance to MCAC specialty	
11	panels	
12	Harold C. Sox, M.D.	179
13	Open Public Comments	182
14	Further discussion of Medical Devices and Prosthetics	
15	Panel recommendations - Ambulatory Blood Pressure	
16	Monitoring	186

17

18

19

20

21

22

23

24

25

1                   TABLE OF CONTENTS (Continued)

2   Future Role of the Executive Committee

3           Sean R. Tunis, M.D., M.Sc.                   191

4   Adjournment   220

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:33

3 a.m., Thursday, June 14, 2001.)

4 MS. CONRAD: Good morning. Welcome,

5 committee chairperson, members and guests. I am

6 Constance Conrad, the executive secretary of the

7 Executive Committee of the Medicare Coverage Advisory

8 Committee, MCAC.

9 The committee is here today to act on the

10 recommendations of the Medical Devices and

11 Prosthetics Panel of February 21st regarding

12 ambulatory blood pressure monitoring, to discuss the

13 recommendations for evaluating effectiveness, to

14 discuss the future role of the committee in light of

15 the provisions of the Benefits Improvement and

16 Protection Act that removes the requirement that the

17 Executive Committee ratify all medical specialty  
18 panel recommendation, and to discuss the contents of  
19 and framing the questions for a future presentation  
20 of neuroimaging for dementia, to be presented to the  
21 Diagnostic Imaging panel later this year.

22               The following announcement addresses  
23 conflict of interest issues associated with this  
24 meeting and is made part of the record to preclude  
25 even the appearance of improprieties. The conflict

00007

1 of interest statutes prohibit special government  
2 employees from participating in matters that could  
3 affect their or their employers' financial interests.  
4 To determine if any conflict existed, the Agency  
5 reviewed all financial interests reported by  
6 committee participants. The Agency has determined  
7 that all members may participate in the matters  
8 before the committee here today.

9               With respect to all other participants, we  
10 ask in the interest of fairness that all persons  
11 making statement or presentations disclose any

12 current or previous financial involvement with any  
13 firm whose products or services they may wish to  
14 comment on. This includes direct financial  
15 investments, consulting fees and significant  
16 institutional support.

17 At this time I will turn the meeting over  
18 to Dr. Harold Sox.

19 DR. SOX: Thank you. Sean, do you want to  
20 make a few remarks before we begin?

21 DR. TUNIS: Only one brief remark, which  
22 is, the scheduling of the section on neuroimaging for  
23 Alzheimer's was put in the morning session to  
24 accommodate the schedule of Dr. Zarin, from AHRQ.  
25 She is going to have to leave us at 11:00 this

00008

1 morning, so we may have to fiddle with the agenda a  
2 little bit and possible move the break a little bit  
3 later in order to have the maximum amount of time  
4 with Dr. Zarin. So with folks' indulgence, we may  
5 modify the morning schedule just a little bit.

6 Other than that, I think we're ready to  
7 go.

8 DR. SOX: Before we get into the substance  
9 of the meeting I would like each member of the  
10 Executive Committee to introduce themselves, starting  
11 with you Barbara. Could you say where you're from  
12 and the like?

13 DR. MCNEIL: Barbara McNeil, I'm chairman  
14 of the Department of Healthcare Policy at Harvard  
15 Medical School, and a radiologist at the Brigham and  
16 Women's Hospital in Boston.

17 DR. MURRAY: Robert Murray. I am the  
18 technical director for Laboratory Services Forensic  
19 Health Associates.

20 DR. JOHNSON: Joe Johnson, chiropractor,  
21 private practice in Florida.

22 DR. GARBER: Alan Garber. I am a staff  
23 physician at the VA Palo Alto Healthcare System, and  
24 professor and director of the Center for Health  
25 Policy at Stanford.

00009

1 DR. HOLOHAN: Tom Holohan. I am chief of  
2 patient care services for the Veterans Health

3 Administration.

4 DR. PAPATHEOFANIS: Frank Papatheofanis.

5 I am in the department of radiology at the University  
6 of California, San Diego.

7 MS. RICHNER: Randel Richner, vice  
8 president, reimbursement, Boston Scientific  
9 Corporation.

10 DR. DAVIS: Ron Davis. I work at the  
11 Henry Ford Health System in Detroit, where I am  
12 director of the Center for Health Promotion and  
13 Disease Prevention.

14 DR. FRANCIS: I'm Leslie Francis. I am  
15 professor of law and philosophy at the University of  
16 Utah.

17 DR. SOX: I am Hal Sox. I am currently  
18 unemployed, but I will be starting as the editor of  
19 the Annals of Internal Medicine in July.

20 Well, this is going to be, I think, a  
21 really nice meeting. We have a configuration that  
22 brings us all closer together physically, and I  
23 think, and we have a number of topics that are going  
24 to have some real meat to them.

25 Briefly, we are going to start by carrying

00010

1 out one of the what I think is a very important, I  
2 guess really statutory function, which is to give  
3 advice up front to HCFA and to the evidence based  
4 practice center that does the evidence report for a  
5 future topic for us. And it's an example, I hope, of  
6 the Executive Committee being able to get the process  
7 of evaluation off on the right track by providing  
8 advice at the beginning rather than trying to make do  
9 with the situation that might have been better if we  
10 had a chance to talk about it up front. We will  
11 spend the morning doing that.

12 After the lunch break, we are going to hear a  
13 report from the Medical Devices and Prosthetics panel  
14 about ambulatory blood pressure monitoring. It will  
15 be an opportunity to hear that panel's analysis of  
16 the problem, to discuss the process, and then it's  
17 one of our last acts in terms of voting approval to  
18 do so.

19 Finally, after the afternoon break, we

20 will briefly go over the major changes in the interim  
21 guidelines for evaluating effectiveness. This is a  
22 topic that we discussed at, in some length at our  
23 last meeting, and actually approved, but this is an  
24 opportunity to revisit that and in particular to give  
25 an opportunity for members of the public to comment,

00011

1 and for us to react to those comments. And then we  
2 will adjourn.

3               So, we start with a presentation from HCFA  
4 on PET scanning and Alzheimer's disease. And, could  
5 you introduce yourself, and go ahead.

6               DR. CANO: Good morning. My name is  
7 Carlos Cano. I am a medical officer with the  
8 Coverage and Analysis Group in HCFA. I am a member  
9 of the team working on the issue of PET for diagnosis  
10 and management of dementia. The purpose of my brief  
11 introduction is threefold.

12              First, to provide some context, to situate  
13 the request HCFA is making today to the Executive  
14 Committee to provide commentary and suggestions as to  
15 what the analytic framework, and questions that will

16 be pertinent for the technology assessment.

17               Secondly, I would briefly inform the  
18 audience and the public about the material that was  
19 submitted to the Executive Committee prior to this  
20 meeting to get the conversation started, so to speak.

21               And finally, I would like to preface the  
22 presentation of our next speaker, Dr. Zarin.  
23 Dr. Deborah Zarin, as many of you know, is director  
24 of the Technology Assessment Group at AHRQ, the  
25 Agency for Healthcare Research and Quality, and HCFA

00012

1 and AHRQ have been having collaborating closely in  
2 preparation for the technology assessment.

3               So, first, a bit of recent historic  
4 context for the request. In July of last year, a  
5 number of sponsors, primarily associated with the  
6 University of California in LA submitted a report in  
7 support of a broad request for a number of  
8 indications for PET. Among them was the use of PET  
9 in the work-up for dementia.

10               In November of last year, the EC after

11 some deliberation recommended that HCFA proceed with  
12 additional analysis on this issue before a  
13 recommendation could be made. In December of last  
14 year, we at HCFA issued a decision memorandum citing  
15 the EC recommendation, and deciding that a referral  
16 of this issue would be made to MCAC, and that was the  
17 same position memorandum when some indications for  
18 PET were covered and others that were requested were  
19 not covered.

20 Last month, we submitted a formal request  
21 to AHRQ for a technology assessment. Today we are  
22 consulting with you and we expect to have a  
23 systematic review prepared, including the technology  
24 assessment, for review of the Diagnostic Imaging  
25 panel in the fall.

00013

1 Just briefly to mention what material was  
2 submitted to the Executive Committee, there was the  
3 agenda for today; the HCFA tracking sheet, which as  
4 many of you know, is the document that we post on the  
5 web site and regularly update to keep the public  
6 informed of the progress of individual coverage

7 requests. We extracted from the reports submitted by  
8 UCLA the portion that was pertinent to the work-up of  
9 dementia and included that in the package. We  
10 provided a copy of the formal request we submitted to  
11 AHRQ, including some very preliminary questions that  
12 I will also mention in a few moments.

13           And finally, we added a few articles,  
14 abstracts and reviews as background on the issue.  
15 Included among them were three systematic reviews  
16 that the American Academy of Neurology recently  
17 published on the early detection, diagnosis and  
18 management of dementia. A chapter from a volume of  
19 Neurology Clinics on neuroimaging and dementia; the  
20 volume was published in November of last year. A few  
21 abstracts of ongoing clinical trials of various  
22 therapeutic agents applied to patient populations  
23 that are either at high risk of dementia, or already  
24 have mild to moderate dementia. And finally, we  
25 thought it proper to include an article cited by the

00014

1 requestor on FDG-PET in dementia that shows the

2 relative accuracy of PET and the metabolic pattern,  
3 compared to conventional diagnostic and other  
4 neuroimaging techniques.

5           When we were trying to put together a  
6 formal request to AHRQ, we thought about some  
7 questions that an informed layperson or a concerned  
8 clinician might initially pose. Is PET better as a  
9 diagnostic tool than the currently utilized clinical  
10 and neuroimaging techniques? If so, if PET is able  
11 to detect Alzheimer's disease earlier, what impact  
12 would that have on clinical management? And we  
13 included in those considerations the possibility that  
14 early false positive might create a potential harm  
15 and we would like to look into that. And finally, is  
16 there any direct evidence or indirect evidence  
17 through these various linkages that use of PET in  
18 fact results in lesser morbidity or mortality, or  
19 affects other appropriate outcome measures.

20           So, based on these very preliminary  
21 questions, we passed the ball to AHRQ so to speak,  
22 and Dr. Zarin developed and will be presenting an  
23 analytic framework that also includes the guidelines

24 for evaluating diagnostic services that the EC has  
25 been working on, and because there are a number of  
00015

1 gaps in the data on this matter, she will also be  
2 including some concepts regarding decision modeling.

3               So, I am looking forward to Dr. Zarin's  
4 presentation, and this completes my brief  
5 introduction, and I will be glad to answer questions,  
6 if there are any.

7               DR. SOX: Any questions for Dr. Cano?

8               DR. TUNIS: I just wanted to sort of  
9 highlight for the Executive Committee that what we're  
10 really interested here in is, we're sort of proposing  
11 almost as a strawman, if you will, set of questions  
12 and framework and an approach for dealing with this  
13 question of PET for Alzheimer's disease, and what  
14 we're really looking for is direction from you not  
15 just on the sort of content of the analytical  
16 framework that Deborah is going to present, but  
17 really more strategically in your role as giving HCFA  
18 advice on coverage, that this, there's some sort of

19 new avenues that are being explored here and haven't  
20 really been done to a great degree before.

21           One is, one key question is to what extent  
22 you would be advising us to focus more on the  
23 technical performance characteristics in relation to  
24 the potentially early diagnosis of Alzheimer's, but  
25 how much emphasis in addition to that, obviously, to

00016

1 give to the issues of effectiveness of therapy and  
2 impact on outcomes, and how strong the evidence needs  
3 to be in those areas. So that's, you know, one  
4 question that we will need to spend some time talking  
5 about, and obviously you have addressed it to some  
6 extent in your framework, but I think in the area of  
7 Alzheimer's it kind of raises to an extremely  
8 important level in terms of ultimately a coverage  
9 policy related to this, and I will come right back to  
10 you.

11           And then the second thing is, we have  
12 decided here to propose not just looking at the  
13 question, the narrow question of the use of PET for  
14 Alzheimer's disease, but potentially broadening the

15 question to neuroimaging for dementia, and looking at  
16 the competing technologies as well as PET, and that  
17 will be functional MRI, potentially CT, and will  
18 probably, and Deborah will get into this in detail,  
19 be including in the systematic reviews a formal look  
20 at the technical performance and clinical utility of  
21 those competing technologies in the context of PET.

22           And I really just needed to highlight that  
23 neither of those decisions has been -- we are sort of  
24 looking for direction from you all on both of these  
25 key issues, if not others that you identify. I'm

00017

1 sorry, Randel, go ahead.

2           MS. RICHNER: I know that this is all a  
3 new process and we are all learning along the way,  
4 but I'm very curious as to why you sent this to the  
5 Executive Committee and not to the Diagnostics, or  
6 that panel. If we're going to follow our operations  
7 guidelines, this doesn't flow with what we've written  
8 here, so I want to know why this was done this way.  
9 And what, why did you choose AHRQ for the technology

10 assessment, versus other assessment groups, that's  
11 another question.

12           Another question is why, I mean, one of  
13 the things that we have written in that operations,  
14 was that questions would be formed, which is what  
15 we're doing here, but I thought that the Diagnostics  
16 panel was supposed to do that, number one. And  
17 number two, those questions then would be posted on  
18 the web for input.

19           I mean, there is a lot of things we have  
20 written in here that don't flow with what we're doing  
21 here, so I just want to know why we're doing it  
22 differently.

23           DR. TUNIS: Well, I can make some comments  
24 and maybe Hal would as well, but a couple things.  
25 One is, we're in this kind of post-BIPA but

00018

1 preimplementation of BIPA transitional phase, where  
2 the role of the Executive Committee is actually  
3 evolving from its ratification function to a broader  
4 function of giving more general policy direction  
5 around coverage to HCFA.

6                   And remember, if you were at our blizzard  
7 shortened meeting where we talked a little bit about  
8 some of the potential future roles of the Executive  
9 Committee, but actually this topic specifically of  
10 neuroimaging and dementia came up there, and I  
11 thought we had, my recollection is that we had asked  
12 the question of whether the Executive Committee would  
13 feel it to be an appropriate role to give some  
14 general direction on how to approach this.

15                   I think that there is, in my view, there  
16 is sort of a division between the general level  
17 conversation about what we will have as the strategy  
18 for approaching this issue at the Executive Committee  
19 level than will happen at the level of the Diagnostic  
20 Imaging panel, which will ultimately have to focus  
21 down on the specific questions to be asked and take  
22 the input of the Executive Committee into account  
23 when they decide exactly how they want to frame this  
24 issue to discuss it as a panel.

25                   MS. RICHNER: So this is sort of a -- this

1 will be different than what we're normally going to  
2 be doing then is what you're saying, that this neuro  
3 process that we're going through here is maybe  
4 different than what you're going to ask normally for  
5 the Executive Committee to do?

6 I'm just trying to figure out -- I mean,  
7 if this is a better process, then maybe we should  
8 revise our operations. That's all I'm saying. I  
9 mean, this may be what we want to do, in which case  
10 we need to look again at what we've written. So -- I  
11 mean, I know that these weren't ratified and that  
12 they are draft and that we're all working on these  
13 and thinking about what's the best way, so I'm just  
14 suggesting that we need to think about if --

15 You know, I was surprised that we were  
16 going to be doing this today, and so I informed the  
17 PET people that we're going to have this discussion  
18 about forming the questions today, and so I had a  
19 discussion with them yesterday about this, I mean, so  
20 how are we going to make this work?

21 DR. TUNIS: Actually, this was on the  
22 agenda. They had been alerted, and we had actually

23 called them several weeks ago, so they knew about  
24 this. Did you want to say something Alan?

25 DR. GARBER: Well, whether or not HCFA

00020

1 intends it to be the routine way of operations, I  
2 just want to address one of your questions, Randel,  
3 about consistency with the interim guidelines, which  
4 actually this group has already ratified. It was  
5 only a redrafting that's being presented today.

6 It's my view, and I have looked at these  
7 fairly recently, there's no contradiction between the  
8 procedure that HCFA is following today and what's in  
9 those interim guidelines, and I think Sean was  
10 getting at this. Certainly the panel chair and  
11 members of the panels need to refine the question  
12 that's posed to them and provide input before the  
13 panel meeting. But there is nothing inconsistent  
14 with using the Executive Committee to help frame  
15 broad questions.

16 And in this particular instance, the  
17 issues are not just about PET, they are refining our

18 thinking about how to evaluate diagnostic tests, and  
19 some of these issues I think will come up on other  
20 panels beside the Diagnostic Imaging panel. So I at  
21 least personally feel that not only is this  
22 consistent with what's in the interim guidelines  
23 document, but this is one of the most useful  
24 activities of the Executive Committee, because this  
25 is a set of methodological issues that spans multiple

00021

1 panels.

2 MS. RICHNER: That's fine, but I don't see  
3 it being totally consistent, but that's okay. I  
4 mean, there is still a -- you know, I'm a very  
5 process oriented person, I work in business, and I  
6 look at how things are done in a timely fashion and  
7 that kind of thing, and if I looked at how we did  
8 this, and looked at how we wrote this, they don't  
9 match, but that's okay. So we just need to make sure  
10 that you know, we want to do, we're doing the right  
11 thing, and that we agree with what the process is,  
12 and I think this is fine.

13 We're, you know, posing these questions to

14 the Executive Committee, it's a good idea, but there  
15 needs to be a process so that the public has a chance  
16 to input along the way. And I also don't know how  
17 you chose AHRQ as the TEC assessment group.

18 DR. TUNIS: Actually, we are virtually a  
19 hundred percent of the time working with AHRQ as our  
20 sort of source of analytical expertise to identify a  
21 center to do the technology center. AHRQ will not be  
22 doing the technology assessment, they are going to be  
23 identifying one or more EPCs to work on the  
24 technology assessment. What we've asked AHRQ to do  
25 is to try to present a kind of a dummy, no offense to

00022

1 Deborah, I mean one version of an analytic framework  
2 that might be used for purposes of discussion and  
3 nothing else.

4 DR. SOX: I have a couple comments in  
5 response to your point, Randel. The first is that I  
6 believe we ought to change our interim guidelines so  
7 that we explicitly write the role of the EC into it,  
8 and possibly we could do that this afternoon, since

9 it's really a pretty minor procedural change.

10                   The other point which we may want to argue  
11 if we get around to discussing the role of the EC  
12 this afternoon, our last agenda item, is the role of  
13 the Executive Committee in trying to keep this whole  
14 process at the same standard of rigor and depth  
15 across different panels. I think that one of the  
16 important functions of the EC is to set standards for  
17 the performance of the panels, to discuss how the  
18 panels perform as a way of learning from that  
19 experience in building a body of case law, and for us  
20 to have input at the beginning. The panels ought to  
21 take our input seriously and if they think we're off,  
22 they ought to be able to explain pretty clearly why  
23 they went in a different direction.

24                   So I think it's part of, if you like, sort  
25 of the quality control function of the Executive

00023

1 Committee.

2                   Any other comments before we move on? In  
3 that case, Deb.

4                   DR. ZARIN: Thanks. Let me just start

5 here and clarify that AHRQ will be working with one  
6 of our EPCs on this topic, and our role essentially  
7 is to make sure that the EPC, that the report that  
8 you get at the end is the report that you will find  
9 useful in helping you to make your assessments, so  
10 that we essentially at AHRQ will function as the  
11 liaison to make sure that the EPC report meets your  
12 needs. And that's why we're eager to be here today  
13 to lay out and sort of use you as a sounding board.  
14 A dummy proposal isn't a bad way of saying it.

15           Let me just go over, and Sean alluded to  
16 this, that we were asked to provide an assessment of  
17 the use of PET and/or other neuroimaging tests, and  
18 that is one of the questions to ask today, in the  
19 management of patients with suspected AD, and I'll  
20 use that term for Alzheimer's disease, or other  
21 dementias of old age.

22           The time line is that it's supposed to be  
23 considered by the MCAC panel in November of 2001,  
24 which really gives us four months, and given that  
25 time line, I will ask you all to consider carefully

1 the sort of scope of the problem, because four months  
2 isn't that long. Okay.

3 I'm going to go over briefly some  
4 background on the diagnosis and treatment of dementia  
5 to make sure that we are all on roughly the same page  
6 there, the potential uses of PET, the MCAC criteria  
7 for evaluating diagnostic tests, a proposed model,  
8 and some issues for the MCAC to consider.

9 Again, let me just lay out some caveats  
10 that what I'm going to present in terms of background  
11 is not based on systematic review, it's based on the  
12 Academy of Neurology documents, and it's meant to  
13 just provide you with background so that you can  
14 listen to the proposed model. And all of this is in  
15 the sort of order of very broad stroke kind of  
16 proposal, because I would like to get your reaction  
17 to sort of a broad concept of the model as opposed to  
18 any details. Okay.

19 The Diagnostic and Statistical Manual  
20 definition of dementia is impairment in short and  
21 long-term memory, impairment in abstract thinking and

22 judgment, frequently other disturbances of higher  
23 cortical functioning and sometimes personality  
24 change. For differential diagnosis, and this is  
25 where it immediately gets complicated, because the

00025

1 proposed uses of PET cover many different patient  
2 populations.

3           One of the populations is what I call  
4 subsyndromal symptoms, or mild cognitive impairment  
5 which is abbreviated MCI frequently, and the  
6 differential for those people, people really with  
7 complaint of memory loss, most of their cognitive  
8 functions are intact, and the question is whether  
9 this is sort of memory loss associated with normal  
10 aging that is likely to have a benign course, versus  
11 a very early manifestation of a dementia. And so,  
12 the differential for those populations is really sort  
13 of normal versus dementia.

14           Whereas, another proposed use of PET is in  
15 people who obviously have dementia based on clinical  
16 diagnosis, and then there's a differential that has

17 to do with the cause of dementia. There's  
18 Alzheimer's disease, which especially in the older  
19 population, 65, 70, over 65, 75, et cetera, is the  
20 most common, vascular or multi-infarct dementia, Lewy  
21 body dementia, frontal dementia, and chiron disease  
22 like Creutzfeldt-Jakob disease, or some other much  
23 more rare causes of dementia.

24               So the diagnosis currently of specific  
25 causes of dementia, if you have an elderly person

00026

1 with clinically diagnosed dementia, the differential  
2 diagnosis is based on clinical presentation,  
3 including neurologic exam, neuropsych testing.  
4 Laboratory tests are generally used to rule out other  
5 treatable conditions, for example a thyroid  
6 condition, as opposed to ruling in one of those  
7 causes.

8               And similarly, structural neuroimaging is  
9 generally used to rule out something like a cerebral  
10 neoplasm. That's something else that might be  
11 causing it, as opposed to ruling in one of the  
12 disorders that we just listed, with the exception of

13 multi-infarct dementia where there are indices based  
14 on structural neuroimaging.

15               So the diagnosis of Alzheimer's disease  
16 again, during life, is based on characteristic  
17 symptoms and exclusion of other causes of dementia,  
18 early and prominent short-term memory loss, early  
19 deficits in executive function, personality and  
20 language is relatively preserved. Definitive  
21 diagnosis is based on autopsy, based on pathological  
22 findings at autopsy.

23               However, there are a variety of criteria  
24 of reliable and valid criteria that when used  
25 clinically have a reasonable sensitivity and

00027

1 specificity. Those are actually, studies are a  
2 little bit complicated, but the Academy of Neurology  
3 document has some of the data in there. The  
4 predictive value positive is about 80 to 90 percent  
5 for clinical diagnosis in a academic center at this  
6 point.

7               This is, you can't read it (indicating

8 chart), but you can see the general shape, which is  
9 to show you the rise in incidents of Alzheimer's  
10 disease by age, and it starts on the left at age 65  
11 and ends at, the last number on the right if you  
12 can't see it, is 90, and you can see that the  
13 incidents go sharply up. It may or may not plateau  
14 but if it does, it doesn't plateau until somewhere in  
15 the 90s, so that both the differential diagnosis and  
16 the prior probability for anyone is very different  
17 with age.

18           Course of AD is, death generally occurs  
19 between 10 and 15 years after diagnosis, but  
20 especially given the age ranges we're talking about,  
21 it depends heavily on the age at onset and competing  
22 risks.

23           The reference standards, as I mentioned,  
24 when the differential diagnosis is whether you're  
25 normal versus very early dementia, the reference

00028

1 standard would generally would be course; in other  
2 words, follow the person for five years or so and see  
3 what the course is. For multi-infarct dementia there

4 is, as I mentioned, some indices based on structural  
5 neuroimaging. And for the other cause of dementia,  
6 the reference standard is generally based at autopsy  
7 on pathological findings.

8           Just a very broad overview of treatment  
9 issues. You can divide the world into cognitive  
10 symptoms and noncognitive symptoms for patients with  
11 dementia. For cognitive symptoms, the pharmacologic  
12 treatments in general have been shown, the ones that  
13 have generally been shown to be effective are  
14 generally tested in people with Alzheimer's disease.  
15 They are cholinesterase inhibitors, perhaps  
16 Selegiline, Vitamin E, and the effect size is  
17 summarized by saying it's about six months, so that  
18 there is some studies that seem to show an  
19 improvement that seems to be equivalent of about six  
20 months worth of sort of putting you back in the  
21 course about six months, and other studies that show  
22 a slowing of progression. The sense is about six  
23 months, some people say 12 or more months.

24           Again, the caveat is that this slide, none

25 of these slides are based on a review of the data.

00029

1 I'm trying to give you an overview so you understand  
2 the issues. Obviously for the assessment, this would  
3 be heavily data driven.

4 For noncognitive symptoms, the treatments  
5 tend not to be diagnosis specific. Besides  
6 behavioral treatments, there are pharmacologic  
7 treatments, generally antipsychotic drugs and again,  
8 not diagnosis specific.

9 There are some studies going on on the  
10 prevention of AD. Just looking at the National  
11 Library of Medicine database at [clinicaltrials.gov](http://clinicaltrials.gov), I  
12 found several studies that were looking at people who  
13 were either asymptomatic individuals, asymptomatic  
14 elderly individuals generally. Some of the studies  
15 had people with a family history of AD, some had a  
16 family history of other dementia, and some had just a  
17 family history of memory problems. So you are  
18 talking about normal elderly people who are  
19 considered at risk based on family history.

20 The agents being evaluated are

21 nonsteroidal anti-inflammatory drugs, estrogen, and  
22 Gingko Ballivo. I presume there's other studies that  
23 are not in that database, but this is just to give  
24 you an overview that people are studying these sorts  
25 of agents in the prevention of AD for people at high

00030

1 risk.

2           The kinds of outcome measures that would  
3 generally be used come in three categories, cognitive  
4 tests, functional measures and time to specific  
5 concrete events. For cognitive tests, there are  
6 brief measures like the midi mental state exam and  
7 they are more elaborate, basically neuropsych  
8 testing. Functional measures are things like, can  
9 you perform your activities of daily living or  
10 instrumental activities of daily living. Time to  
11 specific concrete events are things like time to  
12 institutionalization, time to death. You can imagine  
13 that certainly some of these measures would be very  
14 dependent on the time of the diagnosis.

15           Populations of potential interest. There

16 has been mention of using PET to diagnosis AD in  
17 people who are considered at high risk but currently  
18 have no symptoms, in other words, the types of  
19 indidivudals who are in those prevention studies. It  
20 has also been mentioned being used in people who you  
21 can consider to have mild cognitive impairment or  
22 some other subclinical dementia symptoms. It's also  
23 been mentioned as using to help in the differential  
24 diagnosis of people with dementia.

25                   It's important to mention that those three

00031

1 populations pos different issues in terms of the  
2 sensitivity and specificity of the test or the kind  
3 of data you would look for, the clinical management  
4 issues and the treatment issues. One way of showing  
5 this is, the biggest box is the universe of patients  
6 over 65. Some proportion of those patients are going  
7 to be concerned about the possibility of dementia due  
8 to a decrease in memory or for some other reason, say  
9 a family history. A proportion of those will mention  
10 a concern to their physician or another caregiver. A  
11 proportion of those will be referred for work-up

12 because of signs or symptoms or family history. A  
13 proportion of those will get the clinical diagnosis  
14 of dementia. A proportion of those will be thought  
15 to have AD and a proportion of those will actually  
16 have AD.

17           The arrows don't show up, but you can see  
18 that PET has been mentioned in many of those boxes  
19 and again, I need to emphasize since this is an  
20 important point, that the issues in using PET at  
21 those different stages can vary quite widely.

22           So how would you evaluate PET? Well, the  
23 basic point, the argument is that earlier diagnosis  
24 of AD or another specific cause of dementia could  
25 lead to earlier treatment of dementia, which can lead

00032

1 to better health outcomes.

2           The arrow A would correspond to what this  
3 panel has called direct effects, so if there were  
4 studies that showed that the earlier diagnosis  
5 directly led to health outcomes. The arrows B and C  
6 would be equivalent to indirect effects.

7                   So here's the MCAC criteria, the first  
8 criteria as applied to this. Are there high quality  
9 studies that provide direct evidence that use of PET  
10 improves health outcomes? That would have been arrow  
11 A on the previous slide. If not, are there studies  
12 that would allow us to determine the test accuracy,  
13 especially in comparison for alternatives, determine  
14 the impact of improved accuracy on patient management  
15 and determine the impact of change in patient  
16 management on health outcomes. So those are probably  
17 where we are heading in terms of looking at these  
18 three questions.

19                   So here is, and I'm sorry it's not quite  
20 bold enough, but here's the beginning of a decision  
21 tree which I'm presenting, again, it's very broad  
22 strokes, it would be a lot more detailed if we were  
23 actually going to go down this path, but to show how  
24 you can think about this. So at the beginning on the  
25 left you have patients, and I left it very generic

00033

1 because again, it will be important to specify which  
2 patient group we're talking about, whether it's

3 people with MCI, people with dementia, people with a  
4 family history, but neither of those two symptom  
5 sets.

6           Suppose you have a choice of using PET  
7 scanning or not. Obviously, by the way, if you were  
8 to consider other diagnostic tests, there would be  
9 other branches coming off that first decision node.  
10 Okay.

11           You can either have the disease in  
12 question, in this case AD, or not. And in the PET  
13 arm, the PET could have been positive or negative for  
14 either people with or without the disease. So you  
15 can see on the upper left, the first branch would  
16 lead to true positives, those people who actually had  
17 AD and had a positive PET scan. The next branch is  
18 false negatives, people with AD who had a negative  
19 PET scan. I'm just going to talk you through it.  
20 The next branch are people who are false positives,  
21 people who had a positive PET scan but don't actually  
22 have AD. And the next branch on true negatives,  
23 people with no disease and a negative PET scan.



20 increase or decrease. There are obviously huge  
21 consequences to telling someone, especially somebody  
22 who is currently asymptomatic that they do or do not  
23 have Alzheimer's disease based on a test.

24               So let's think about it. The true  
25 positives, you can imagine that early treatment may

00035

1 be more beneficial than later treatment and they  
2 would get a health benefit from that. The true  
3 negatives might get reassurance. The false positives  
4 might get unnecessary worry and unnecessary treatment  
5 with the consequence of that. And the false  
6 negatives might get inappropriate reassurance and not  
7 get a treatment that might have been helpful to them.  
8 So that's one very broad way of thinking about it.

9               So points to consider, again, I keep  
10 emphasizing, the phase of illness is important, and I  
11 think it will be important based partly on this  
12 discussion to think about which sort of groups of  
13 patients we want to consider in the analysis.

14               The appropriate reference test or tests is

15 uncertain. Impact of negative tests and false  
16 positive tests are important to evaluate, what I was  
17 just talking about, the impact of the psychosocial,  
18 legal and other kinds of consequences to people with  
19 test results.

20 Patient management is a moving target, as  
21 I mentioned, both in terms of treatment of sort of  
22 full-blown dementia as well as prevention of dementia  
23 in people considered at high risk. There are many  
24 many clinical trials going on now and my guess is we  
25 will have a lot more data in five years that we have

00036

1 now, and clinical practice is evolving daily, so  
2 there is an issue of how to model that. The choice  
3 of appropriate measures of health outcomes is very  
4 important.

5 The evaluation will ultimately depend on  
6 the operating characteristics of the test at  
7 different phases of illness, and again, we are  
8 unlikely to have data at all those phases of illness,  
9 so that will be an issue.

10 Modeling of patient management decisions,

11 data regarding treatment effectiveness at different  
12 phases of illness, and the question, one question is  
13 whether we should consider and how to consider data  
14 about the impact of true and false positive results  
15 at different phases of illness.

16               So the issues that I would ask the MCAC to  
17 consider are, does the MCAC agree with this basic  
18 broad approach? How much consideration should be  
19 given to the role of other diagnostic imaging  
20 procedures? What are acceptable reference standards  
21 when evaluating the operating characteristics of any  
22 of these tests? And how should the psychosocial,  
23 legal or other consequences of different PET outcomes  
24 be considered?

25               I think I will end it there.

00037

1               DR. SOX: I think the next part of the  
2 agenda is to have scheduled or unscheduled public  
3 comment, but before that, and also before we get into  
4 discussion of the AHRQ model, are there any sort of  
5 specific questions that you would like to address

6 today?

7 DR. FRANCIS: Did you think about, because  
8 when you talked about how a false positive might have  
9 the risk of too much treatment or inappropriate  
10 therapy for Alzheimer's, what you didn't raise in  
11 that bullet in the slide, might it also result in  
12 people not getting other sorts of treatment that  
13 would be beneficial.

14 DR. ZARIN: You mean if it led people to  
15 not acknowledge that there was some other disorder?

16 DR. FRANCIS: Well, not necessarily that,  
17 but sometimes when a patient has a diagnosis of  
18 Alzheimer's, other things don't happen. For example,  
19 there are recommendations that you don't have breast  
20 cancer screening or whatever else it might be,  
21 totally unrelated to Alzheimer's, so that that bullet  
22 needs to be, I think, not only is there a risk of  
23 getting inappropriate care but also, is there a risk  
24 of not getting appropriate care.

25 DR. ZARIN: I think that first of all, the

00038

1 answer to that would depend also in large part on

2 which population you are dealing with, so that if you  
3 are someone already demented and you are dealing with  
4 just the differential diagnosis, the impact would  
5 probably be less in that regard than somebody who  
6 might actually be normal.

7                   But, I agree with you. I think that for  
8 each of those endpoints, there is a whole slew of  
9 what I lumped under psychosocial, legal, other  
10 consequences, and then the question is how much do we  
11 need to flush that out again, considering that we  
12 have a relatively short time frame, the data are  
13 likely to be limited, but these are incredibly  
14 important issues, and so I think we need people's  
15 reaction to that.

16                   DR. SOX: Alan.

17                   DR. GARBER: This is about that relatively  
18 short time line. Can you just give us a brief  
19 description of how this would proceed after today,  
20 how much time to identify a contractor or set of  
21 contractors, send off for review and so on, and get  
22 it distributed to the panel and send it for public

23 comment?

24 DR. ZARIN: Well, we have an EPC lined up.

25 It's not actually public yet so I won't announce

00039

1 which EPC, but that will be signed, sealed and  
2 delivered in a day or two. And then Sean can address  
3 the rest. I know for a November MCAC meeting, the  
4 report needs to be pretty much finalized about a  
5 month before the meeting, so you can do the math.

6 DR. TUNIS: And just to be clear, the  
7 November MCAC meeting is sort of a self-imposed  
8 deadline, if you will. It's trying to take into  
9 account, you know, the magnitude of the analytic work  
10 that would be required, depending to some degree on  
11 what this group sort of suggests in terms of the  
12 scope of what is actually looked at.

13 But there, you know, if this group  
14 actually recommends an extremely broad evidence based  
15 look, then the November deadline might have to be  
16 pushed back, but obviously, there is a lot of  
17 interest in making sure that this decision gets made  
18 as quickly as possible.

19 DR. SOX: I have a factual question. You  
20 presented a decision model. Do you plan to actually  
21 calculate expected quality adjusted life years or  
22 whatever for the test, no test decision, or are you  
23 using the model principally to lay out the structure  
24 for a more semiquantitative approach to the problem?

25 DR. ZARIN: I'm here to serve you guys, so

00040

1 whatever approach makes the most sense. I would, if  
2 it were me operating in a vacuum, I would probably  
3 look more at the probabilities of different types of  
4 outcomes, as opposed to moving all the way to getting  
5 qualities, but I think it's important for the MCAC to  
6 think about what kind of data they think are  
7 important, and again, my answer would also perhaps  
8 depend on the quality of data we find when we go  
9 searching.

10 I mean, I think that population of  
11 interest is a critical issue and from my very cursory  
12 look, we are going to be very limited in data,  
13 especially for some of those populations, but those

14 are also the populations where it's likely, where  
15 it's being advocated for use a lot.

16 DR. SOX: Okay. My question probably  
17 stepped over the line between sort of factual  
18 question and strategic question that we probably  
19 ought to defer to the discussion period.

20 DR. MCNEIL: I think I would have stepped  
21 over the line too, but it was a question that  
22 followed up on your question, so shall be wait?

23 DR. SOX: I have written this down, so we  
24 can reask the question when we get to the discussion  
25 period. Tom?

00041

1 DR. HOLOHAN: Are we to take the comments  
2 that you made that the definitions of true positive  
3 would be autopsy based? I mean, we talk about how  
4 one makes the diagnosis of Alzheimer's clinically,  
5 the correlation between autopsy results and the  
6 premorbid or preterminal diagnosis, and then you went  
7 on to talk about true positives, false positives. I  
8 presume positive in that case is a gold standard that  
9 would be based on autopsy study data?

10 DR. ZARIN: Well, I think that when you  
11 model it, you can either, it depends on how the data  
12 comes. We are unlikely to have -- the data that are  
13 using PET scanning, some of the data have autopsy  
14 results, and some of the data don't, and I think you  
15 have to model the best you can about the sensitivity  
16 and specificity based on those data. There is no  
17 hard answer. I think if there were a series of  
18 excellent studies, all of which did PET scans on a  
19 lot of people, some of whom proved to have  
20 Alzheimer's and some of whom didn't, and there were  
21 autopsy results on all those people, that would be  
22 the best data to use.

23 My guess is we are not going to find a lot  
24 of data like that, but again, we haven't look in  
25 depth yet.

00042

1 DR. HOLOHAN: So we would have gold  
2 standards, we might have silver plated standards,  
3 which is clinical diagnosis because the clinical  
4 diagnosis and the autopsy data, probably you will

5 find more studies that relate, so we will have  
6 absolute measures and surrogate measures?

7 DR. ZARIN: Well, if you're looking at PET  
8 scanning for people let's say presymptomatic, then  
9 one possible reference standard could be clinical  
10 diagnosis sometime later. In other words, did this  
11 PET scan on day one predict a clinical diagnosis of  
12 dementia five years later? That might be a logical  
13 study design and reasonable data to use.

14 If you're looking cross-sectionally, PET  
15 scan now versus clinical diagnosis now, that's not  
16 that logical because you have the clinical diagnosis,  
17 you know, if you're using that as the reference  
18 standard, the PET scan didn't add anything to the  
19 situation.

20 DR. MCNEIL: I think this is a  
21 clarification question, Deb. You talked about the  
22 changing time course relative to different management  
23 strategies and what data would be available when.  
24 And when I was looking at the stuff you pulled off in  
25 terms of ongoing clinical trials, one of the

1 questions I had, is it possible that some of those  
2 data or those results that might be very meaningful  
3 to this discussion are going to happen on December  
4 1st? Are we titrating our time course to the  
5 availability of some of those pivotal clinical  
6 trials, or should we be, I guess is the other  
7 question.

8 DR. TUNIS: We haven't thought to do that  
9 but we can certainly, you know, look into the time  
10 course, and you know, consider whether we need to  
11 hold off until we have some of that data if it looks  
12 like it's going to be pivotal data. So I think  
13 that's a good point and we'll just make sure we're  
14 sensitive to that.

15 DR. SOX: Frank.

16 DR. PAPATHEOFANIS: Deb, can you give us a  
17 sense of the other neuroimaging modalities and sort  
18 of your preliminary read of the quality of that data,  
19 because if the PET data at least in issue don't  
20 appear very strong, we also are going to have  
21 comparator data that won't be strong in the other

22 modalities.

23 DR. ZARIN: From my understanding, based  
24 again on the AAN, the Academy of Neurology document,  
25 and some other reviews like that, are that the PET

00044

1 data in terms of functional neuroimaging, they are  
2 probably among the strongest. Well, I'm talking to a  
3 radiologist, so you might have a better sense of  
4 that, and that there is a limit to what structural  
5 neuroimaging can tell you.

6 DR. PAPATHEOFANIS: Right.

7 DR. ZARIN: However, again, it depends on  
8 which phase of illness you're talking about.

9 DR. PAPATHEOFANIS: It's a bit of a  
10 concern, and maybe Barbara, you can comment a little  
11 bit more too, that the functional MR data, the other  
12 data in the other competing modalities, if you will,  
13 is still very immature, it's a new set of criteria,  
14 new technology and so forth.

15 DR. ZARIN: Let me just add, one of the  
16 arguments I've heard is that even though, say the  
17 Academy of Neurology practice guideline recommends

18 structural neuroimaging at initial workup, it doesn't  
19 recommend repetitive structural neuroimaging.

20 DR. PAPATHEOFANIS: Right.

21 DR. ZARIN: One of the arguments we hear  
22 is that that happens in real life and that having a  
23 definitive diagnosis might put an end to that. I  
24 don't know, you know. I'm just telling you that, and  
25 so, that's the sort of thing that you could model or

00045

1 say no, we're going to stick with basics.

2 DR. MCNEIL: Just a follow-up to Frank's  
3 comment. One of the tests, the other tests that's  
4 mentioned in the AAN document and is used frequently  
5 is SPECT, and the issue there, I think there are  
6 really two things we want to consider, and I don't  
7 know how they get folded into the analysis, Deborah.  
8 One is that PET at least on the basis of these  
9 articles appears to be better. The counterpoint to  
10 that, though, is the fact that it's much less  
11 available. And then I don't know how we want to  
12 consider the availability of the technology relative

13 to its other possible uses and the availability of  
14 SPECT, which is relatively underused from a  
15 neurological perspective relative to PET in the total  
16 body perspective. Is that your sense, Frank?

17 DR. PAPATHEOFANIS: Right.

18 DR. MCNEIL: And whether or not that  
19 differential ability factors at all into our  
20 decision. For example, of at the end of the day it  
21 should come out that somehow, on a quality adjusted  
22 year or whatever the measure is, PET was 2 percent  
23 better than clinical scenarios, but it was  
24 essentially unavailable, 2 percent better than SPECT  
25 but it was essentially unavailable. Is that anything

00046

1 that we consider in these deliberations? That  
2 strikes me as an issue for the Executive Committee  
3 rather than for the diagnostic imaging panel.

4 DR. SOX: And for HCFA.

5 DR. MCNEIL: And for HCFA.

6 DR. TUNIS: Well, those sorts of issues  
7 certainly get raised and you know, it's raised also  
8 in the context now of, thinking to the issue of the

9 gamma coincidence camera PET versus full ring PET,  
10 and the availability of gamma cameras in rural where  
11 there aren't full ring PETs, so those issues do get  
12 raised to us as part of the consideration of the  
13 coverage process.

14           And I think other than sort of raising  
15 those points in this context, I'm not sure there is  
16 much further to go with that, but the points do get  
17 raised and certainly the committee raising those  
18 points for us gets noted and becomes part of the  
19 discussion.

20           DR. SOX: Alan?

21           DR. GARBBER: This is really just a  
22 question about the agenda. It seems that we're  
23 starting to really get into our suggestions about how  
24 the model should be structured. Did you want to have  
25 the public comments before we carry out that

00047

1 discussion fully, or are you open to discussion of  
2 the model and suggestion for AHRQ?

3           DR. SOX: Well, I had hoped to keep the

4 discussion mostly to factual questions for Debbie  
5 about what she said, as opposed to comment and  
6 advice, and thanks for reminding us that maybe we're  
7 slipping, going over that line.

8               So, I guess at this point, we will ask you  
9 to stand down and be ready to participate in the  
10 discussion later on.

11              And we have one scheduled person to  
12 comment, Dr. Marilyn Albert. Is Dr. Albert here?  
13 Good.

14              Would you introduce yourself please?

15              DR. ALBERT: I'm Dr. Marilyn Albert. I'm  
16 professor of psychiatry and neurology at the Harvard  
17 Medical School, and I'm also director of the  
18 gerontology research unit at Massachusetts General  
19 Hospital. I was asked to speak today because I am  
20 also the chair of the medical and scientific advisory  
21 committee of the National Alzheimer's Association.  
22 And I have no financial interest in the outcome of  
23 these deliberations in any organization or business  
24 that is evaluating or using PET.

25              DR. SOX: Before you start, could I ask,

1 does anybody else plan to make a comment? Could you  
2 raise your hand if you plan to comment? I didn't see  
3 any hands. Did I miss anybody? So, in principle,  
4 you have lots of time.

5 DR. ALBERT: That's probably not a good  
6 thing. Well, I haven't brought prepared comments  
7 because I was only asked to do this very very  
8 recently, but we will prepare a summary of my  
9 comments when I'm done.

10 I should just mention a little bit about  
11 my relevant background with respect to imaging and  
12 diagnosis of Alzheimer's disease. I am the  
13 co-director of a clinic at Massachusetts General  
14 Hospital, where we regularly see patients who come  
15 with clinical complaints, older individuals with  
16 complaints of memory problems and so on, on a regular  
17 basis. I work with a team of clinicians evaluating,  
18 diagnosing people with Alzheimer's, so I'm very  
19 accustomed to using imaging in a standard way for  
20 making a diagnosis.

21 I also am the director, the principal  
22 investigator, of their very large program project  
23 that has used imaging in connection with other  
24 modalities to try and identify patients with  
25 Alzheimer's disease, and in the past we have focused

00049

1 on trying to compare individuals who were normal with  
2 people who had mild Alzheimer's disease, and right at  
3 the moment we're looking at the preclinical  
4 prediction of Alzheimer's disease.

5 So some of the issues that you just heard  
6 addressed with respect to looking at people who come  
7 with cognitive complaints and then seeing what  
8 happens to them down the line are the sorts of things  
9 that we're evaluating in a research setting, so I  
10 have seen imaging applied in both domains.

11 As you have already heard, in standard  
12 practice right now, imaging is used to rule out other  
13 diseases. When we see patients clinically, typically  
14 what's done is to do a structural MRI or a CAT scan  
15 to see if people have strokes or tumors, or a normal  
16 pressure hydrocephalus or other disorders that might

17 be causing their cognitive complaint. It's not used  
18 to rule in the disease in standard clinical practice  
19 because at least among most people in the field,  
20 there isn't enough uniformity and enough agreement  
21 among investigators as to how to do this, but that's  
22 in fact what the issue is here today, whether or not  
23 we can use PET to rule in the diagnosis.

24           Most of the data with respect to PET and  
25 other imaging modalities has therefore been conducted

00050

1 in patients who are very carefully screened, where  
2 other conditions have been ruled out by standard  
3 means, and then PET or MRI or what have you has been  
4 used to see if the imaging measurement is as good as  
5 the clinical diagnosis, or as good as the ultimate  
6 pathological diagnosis, or can predict progression of  
7 disease in people who are presymptomatic, as we have  
8 just heard.

9           And I think that in evaluating PET or  
10 other imaging techniques the really critical thing  
11 for you to keep in mind is what has already been

12 addressed, which is that Alzheimer's disease and  
13 other dementias are progressive illnesses and the  
14 critical thing you need to know in evaluating the  
15 data is how impaired the people were when they had  
16 this evaluation, what degree of severity they had  
17 when it was said that imaging could be equated with  
18 the diagnosis.

19               Needless to say, if you get people who are  
20 very advanced or even moderately advanced, you can be  
21 virtually certain that they have a dementia, you  
22 can't always be virtually certain what the dementia  
23 is, but you can be virtually certain clinically that  
24 they have the dementia, and imaging doesn't tend to  
25 add a lot of on top of that, so the real interest has

00051

1 been to see whether or not it adds something earlier  
2 in the disease, and that's why a lot of attention has  
3 been paid to looking at people with mild impairment  
4 or to looking at people in the preclinical phase of  
5 the disease. But, I think in looking at the  
6 literature that exists, it will be critical to see  
7 what stage of the illness people are at when the

8 disease was acquired.

9           The other thing that I think is important  
10 for you to evaluate is whether or not the data come  
11 from very carefully screened individuals or all  
12 comers. Most of the studies that are in the  
13 literature that I am familiar with have taken people  
14 who are exceedingly carefully screened because the  
15 goal is to see that they meet clinical research  
16 criteria for Alzheimer's disease, and those clinical  
17 research criteria, as we've heard, have an accuracy  
18 in major medical centers of up to 90 percent in  
19 comparison to diagnosis.

20           There are few studies that I am aware of  
21 that have taken all comers who haven't been carefully  
22 screened, which is of course the clinical challenge  
23 that we have, because these dementias are most common  
24 in individuals who are elderly, they have many other  
25 illnesses that can impact on their cognition, heart

00052

1 disease, they take various medications that can  
2 impact on cognition, and people with substantial

3 illnesses along those lines tend to be excluded from  
4 research studies, but they still would require a  
5 diagnosis. So one of the major questions is whether  
6 or not the literature that you will have in front of  
7 you has only taken very carefully screened people or  
8 all consecutive patients.

9           The other issue that you've already talked  
10 about but is very obviously important to address is  
11 the question of the reference standard. It was  
12 already mentioned that autopsy in respect to most of  
13 these diseases is the reference standard, but there  
14 are also to my knowledge few studies where all the  
15 imaging data relates only to people who have come to  
16 autopsy. The vast majority of the studies have to do  
17 with comparing the clinical diagnosis that has  
18 greater than 90 percent accuracy with the imaging  
19 data.

20           And then now more recently, there are a  
21 whole spate of studies looking at prediction of  
22 course, is the person that you see who is very mild,  
23 do they progress to the point where they get  
24 diagnosed with Alzheimer's disease or if they are

25 very mild, do they continue to progress in a way

00053

1 that's characteristic of Alzheimer's disease in the

2 absence of having an autopsy.

3           The other topic that was mentioned only

4 briefly of course is the question of differential

5 diagnosis among the dementias. There are a variety

6 or other demented disorders that are much less common

7 than Alzheimer's disease such a frontal temporal

8 dementia, Lewy body disease, and multi-infarct

9 dementia. And again, the number of studies that have

10 compared these dementias with one another using

11 imaging is fairly modest in my experience, but that

12 will be a very important thing to look at if the

13 claim is, can we make a differential diagnosis among

14 patients who already have a dementive disorder.

15           The last point that I wanted to mention

16 touches on the topic that was just talked about at

17 the very end of the previous speaker's session, which

18 is other imaging modalities. We have talked about

19 PET and SPECT. There is also a lot of work that has

20 been done with structural MRI and I think in general  
21 it's fair to say that there is enormous enthusiasm  
22 for the capability of imaging in general for, if not  
23 diagnosing a disease, systematically evaluating its  
24 course. There are drug companies, for example, that  
25 are beginning to look at imaging measures as outcome

00054

1 measures in studies, and that's because they feel  
2 that these measures in general are getting more  
3 accurate.

4 I think the reason for that is that  
5 technology has greatly improved over the last ten  
6 years, and also we have a much better idea of the  
7 actual nature of the disease process, so for example  
8 in Alzheimer's disease, we have a much better idea of  
9 where in the brain the disease is beginning, and so  
10 if you can measure that with great accuracy, you can  
11 become much better at diagnosing illness and  
12 therefore, in seeing the change in the progression of  
13 disease over time.

14 All of the measures that have been talked  
15 about have data with that, in that regard. There are

16 very few of them that have been compared with one  
17 another, so for example in the area of structural  
18 MRI, there are region of interest measures where you  
19 outline specific regions in the brain that you think  
20 are where the disease is beginning, and you also have  
21 whole brain measures looking at whole brain  
22 shrinkage. Both of those methods have been shown to  
23 be very promising.

24               There are no studies that I know of,  
25 although they might have come out very recently,

00055

1 comparing them with one another and the same is true  
2 with PET, that PET has been used but very rarely  
3 compared to the same individual to SPECT, or SPECT to  
4 structural MRI, so I think that comparison if you  
5 want to evaluate the entire of imaging is also going  
6 to be something that's important.

7               So, why don't I stop there and take  
8 whatever questions you might have.

9               DR. SOX: Thank you very much. If that's  
10 what you can do on three days notice, we look forward

11 to hearing you when you have time to prepare.

12 Barbara?

13 DR. MCNEIL: I agree, that was a lovely  
14 presentation, Marilyn.

15 DR. ALBERT: Thank you.

16 DR. MCNEIL: I have one question that, I  
17 want to make sure I heard you right. You indicated  
18 that with patients with late disease for whom the  
19 diagnosis of dementia was certain, that imaging  
20 doesn't add much. Is that what you said?

21 DR. ALBERT: What I said was that in late  
22 disease you can be virtually certain that someone is  
23 demented. What imaging might add and I don't  
24 actually know that anybody has looked at that, is  
25 which of the many diseases they might have. So for

00056

1 example, if you have a moderate to severely impaired  
2 patient, do they have frontal dementia or do they  
3 have Alzheimer's.

4 DR. MCNEIL: So that would actually be an  
5 important part if we were to be taking late stage  
6 presentations, one of the questions would be is

7 imaging refining the differential diagnosis so that  
8 we would then know whether to treat, so that's still  
9 okay with you.

10 DR. ALBERT: Yes.

11 DR. MCNEIL: Can I ask her one other --  
12 I'm not sure if this is a question that is for us or  
13 for her, and it's something that was said in the  
14 documents and Deb said it and you said it, and it is,  
15 in good academic settings, the probability of  
16 Alzheimer's disease can be up to 80 or 90 percent, if  
17 you have a super workup.

18 DR. ALBERT: That's right.

19 DR. MCNEIL: Now if that's the case, do we  
20 have any reason to believe that any imaging test is  
21 going to have a likelihood ratio that's going to get  
22 us to anything that is high enough to make a  
23 difference? It's almost a modeling question in the  
24 absence of any data, but what do you think about  
25 that?

00057

1 DR. ALBERT: That's why I mentioned the

2 aspect of carefully worked up patients versus not,  
3 because originally when people started to using  
4 imaging in this area, which was about 20 years ago,  
5 the hope was that we wouldn't have to carefully work  
6 up patients, someone could come in the door, we could  
7 give them a PET scan or a structural MRI, and we  
8 would know what was wrong with them by looking at the  
9 imaging. If you could do that, if you had any test,  
10 a blood test, genetic test or whatever, that could do  
11 that, you would save a lot of money, because it's  
12 very time consuming to do all the tests that exist  
13 now, there are a lot of experts that have to evaluate  
14 the individual, and the experts have to be good. I  
15 mean, the data about 90 percent accuracy comes from  
16 major medical centers where people really know the  
17 disease, so if you had something that was pretty good  
18 that you could substitute for all of that, that would  
19 actually help. I don't know that that's what anybody  
20 is claiming, but I think in theory that would help.

21 DR. SOX: Alan, I think you were next, and  
22 then Bob.

23 DR. GARBBER: Thank you for your excellent

24 comments, and I just wanted to follow up on something  
25 that you mentioned briefly. One of the major  
00058

1 purposes for PET in diagnosing Alzheimer's disease,  
2 or using it for suspected Alzheimer's disease  
3 presumably would be for prognosis, and you have  
4 briefly mentioned prognosis. And of course, if this  
5 is something that the evidence based practice center  
6 pursues, they will be looking comprehensively at the  
7 literature. This may be an unfair question but I'm  
8 just wondering, is there a strong literature to your  
9 knowledge on the role of PET or for that matter other  
10 imaging modalities, in determining prognosis? And I  
11 am particularly interested in the marginal  
12 contribution of the imaging tests, whether it's  
13 functional or structural, over the other clinical  
14 parameters that you routinely follow.

15 DR. ALBERT: When you say prognosis, you  
16 mean preclinical disease, you mean very very early  
17 people before the development?

18 DR. GARBER: No. They're already

19 suspected of having dementia, or they may have early  
20 dementia in some form, and in predicting disease  
21 course subsequently.

22 DR. ALBERT: There is a substantial  
23 literature on that. Most of the data is in people  
24 who clinically are said to have probable Alzheimer's  
25 disease, which means they meet clinical research

00059

1 criteria for Alzheimer's disease, they usually are  
2 either mild or moderately impaired, and somebody has  
3 done imaging to see whether or not they meet that  
4 diagnosis. And in many instances, although not in  
5 all, those articles will also tell you which of the  
6 people went on and progressed even if they didn't get  
7 an autopsy.

8 DR. GARBBER: And do you have a sense of  
9 how PET compared to the other imaging modalities?

10 DR. ALBERT: Basically I think the  
11 challenge that's going to be in front of you is that  
12 there are very few studies that have compared imaging  
13 modalities head to head. In our particular studies  
14 for example, at Mass General, we have compared

15 structural MRI to SPECT, and to neuropsychological  
16 testing, but in general, there is not a lot where  
17 imaging, the same imaging modality, the same  
18 individuals have been evaluated with different  
19 imaging modalities. There isn't even much data on  
20 comparing different types of, for example, structural  
21 MRI measures to one other in the same individual, so  
22 I think that comparison is going to be difficult for  
23 you to find data on.

24 DR. SOX: Bob.

25 DR. MURRAY: It's my impression that many

00060

1 of the studies involve a treatment aspect and their  
2 proposed pharmacologic interventions and so on. If  
3 we're looking at, or if AHRQ looks at health  
4 outcomes, how will the various, how can you sort out  
5 the various interventions in evaluating the  
6 diagnostic accuracy? Is it possible, are there  
7 enough studies that look only at that diagnostic  
8 accuracy using an intermediate measure? Obviously if  
9 there were autopsies, it would make it easier to

10 assess the initial diagnostic accuracy.

11 DR. ALBERT: I'm not sure I understand the  
12 question, if you could just rephrase it.

13 DR. MURRAY: Are there good diagnostic  
14 studies that are unaffected or that have outcome  
15 measures that are not affected by the treatment  
16 interventions?

17 DR. ALBERT: I see. Well, the treatments  
18 as you heard, are exceedingly modest. They only  
19 statistically slow up course by six months, so by and  
20 large, the studies will not be affected by treatment  
21 outcome at all.

22 There are a number of studies that have  
23 now been using structural MRI to look at additional  
24 outcome measures, but because the treatment effects  
25 are so modest, they have mostly been used just to

00061

1 measure progression of disease and not to look at the  
2 relationship between treatment and the measures  
3 themselves.

4 DR. SOX: I will just go around, I don't  
5 know who's next, so Tom and then Randel.

6 DR. HOLOHAN: Forgive me for a question  
7 that asks you to act as a visiting lecturer, but I  
8 can't pass up this opportunity. You talked, when you  
9 were talking about the diagnosis, and you began with  
10 autopsy and then talked about clinical evaluation and  
11 clinical diagnosis being accurate in the best places  
12 about 90 percent of the time, and then you talked  
13 about progression. And what I wrote down, this isn't  
14 what you said, but progression may be "proof". Can  
15 you elaborate a little bit more on the increasing  
16 likelihood of a correct diagnosis in seeing the  
17 patient over time and how progression could separate  
18 say Alzheimer's disease from Lewy body disease,  
19 frontal temporal?

20 DR. ALBERT: Theoretically, progression  
21 could help you differentiate Alzheimer's disease from  
22 multi-infarct dementia, because you would expect that  
23 in multi-infarct dementia there would be these  
24 plateaus with big declines when there were vascular  
25 events. Frontal temporal dementia, I have the

1 predisposition that you can best differentiate that  
2 from Alzheimer's disease very early in the course and  
3 that as people progress, they look more and more  
4 similar, so without autopsy it would be very  
5 difficult to differentiate them, and the same thing  
6 is true with Lewy body disease.

7           I think the real point where progression  
8 is helpful is in this preclinical arena and that's  
9 why we have been focusing on that more, because you  
10 commonly have people who have complaints and concerns  
11 about their memory problems, and with all the  
12 publicity about Alzheimer's disease, that's the thing  
13 they worry about the most, and so more and more they  
14 are going to clinicians for evaluation and those  
15 people are very difficult to evaluate. And if you  
16 could -- and if you have effective treatments, like  
17 even the treatments we have now do slow up the  
18 disease a little bit and it's pretty clear that the  
19 earlier you take them the more beneficial they are.  
20 In other words, if you take it later in the course,  
21 you don't get back to the level at which people who  
22 took it earlier had achieved.

23                   So if you could identify people in the  
24 preclinical phase of disease and be pretty sure that  
25 they were going to go on to develop the disease, then

00063

1 treatment intervention would be beneficial and there  
2 would be a great worth in that. So progression in  
3 that area is of substantial informativeness. You  
4 evaluate people when they have memory difficulty and  
5 then you follow them to see whether or not within a  
6 few years they meet clinical criteria for Alzheimer's  
7 disease. So, I think that's the setting in which  
8 making a more definitive diagnosis would be  
9 exceedingly helpful and beneficial in terms of health  
10 outcomes.

11                   DR. HOLOHAN: Do you routinely treat most  
12 AD patients pharmacologically at Mass General?

13                   DR. ALBERT: We do routinely offer  
14 treatment, yes. I mean, with the treatments that are  
15 now available, with the three medications now on the  
16 market, we do. Moreover, in this study that we're  
17 conducting where we're looking at preclinical

18 Alzheimer's disease because these treatments are now  
19 available even before people meet clinical research  
20 criteria.

21                   We talk about treatments with them, we  
22 talk about nonsteroidal anti-inflammatories,  
23 antioxidants and the cholinesterase agents.

24                   DR. SOX:    Randel.

25                   MS. RICHNER:  My questions were answered.

00064

1                   DR. FRANCIS:  One of the questions for  
2 these panels is, is there any data about the Medicare  
3 population more generally, not just the folks in  
4 academic medical centers, and you mentioned that --  
5 is there any data that you know, do you have any  
6 comments at all about what the world is like out  
7 there beyond Mass General?  And really the reason I'm  
8 asking that is whether you have any comments about  
9 the likely sensitivity and specificity, and issues  
10 like false negative and false positive rates outside  
11 of academic medical centers, when you're dealing with  
12 a population that may not have been very carefully  
13 screened.

14 DR. ALBERT: Well, first of all, I do do  
15 research in a population that's in a very good  
16 nursing home in the Boston area, and even though it's  
17 a very good nursing home, it's astonishing that  
18 people don't get a regular workup. So the absence  
19 of, for example imaging, when somebody is thought to  
20 have a progressive dementia is quite striking in the  
21 general population.

22 But when it comes to the issue of false  
23 positives and negatives, the challenge is the prior  
24 probabilities. If somebody is 80 and walks into our  
25 clinic with a history of cognitive decline, the

00065

1 likelihood that they have frontal temporal dementia  
2 statistically is almost zero, because it doesn't  
3 present in that age range. If somebody is 60 and  
4 they walk in with a history of cognitive decline then  
5 the chances that they might have Alzheimer's disease  
6 or frontal temporal dementia are about 50-50, but of  
7 course that's a very rare age range in which to see  
8 the diagnosis.

9                   So if you have a data from a population  
10 whose average age is 75, and somebody uses imaging to  
11 make a diagnosis of Alzheimer's disease, just by  
12 chance they will be right a lot of the time. So you  
13 have to factor that in to the way in which you  
14 evaluate the data.

15                   DR. SOX: My question relates to Leslie's  
16 question, I think. You made a point that we should  
17 be looking closely at how the study populations were  
18 defined as to whether they were off the street folks  
19 with cognitive decline versus people who had been  
20 carefully evaluated with neurocognitive measures and  
21 the like. It wasn't clear to me from your remarks  
22 what impact making that distinction was going to  
23 have, whether it was likely to affect the likelihood  
24 ratios of the tests or mostly have its impact in the  
25 prior probability of disease.

00066

1                   DR. ALBERT: In my mind, it relates to the  
2 issue that Dr. McNeil raised, which is, does it add  
3 anything above and beyond a good clinical diagnosis?  
4 So if you have a very good clinical diagnosis and you

5 have carefully evaluated patients, can you improve  
6 beyond that with good imaging? If you don't have  
7 carefully evaluated patients, then it relates to the  
8 comment I made before about cost saving. If you  
9 could make a good diagnosis with imaging and not have  
10 to do anything else, the cost savings would be really  
11 quite substantial.

12                   And more and more, the other part of it is  
13 this early diagnosis. If you have people just coming  
14 in with cognitive complaints, if you could predict  
15 what was going to happen to them, and you knew that  
16 they were going to develop Alzheimer's disease and  
17 you could intervene earlier, then that would also be  
18 very beneficial.

19                   DR. SOX: Barb?

20                   DR. MCNEIL: I wanted to follow up to your  
21 question, Hal. The prior probability of 80 to 90  
22 percent, which was defined for us --

23                   DR. SOX: That was accuracy.

24                   DR. ZARIN: That was prior probability of  
25 people who had been worked up. We start there,

1   that's the prior probability.

2                   DR. MCNEIL:    Right, that's what I meant  
3   to say if I didn't.  Does that apply to a certain age  
4   range?  In other words, you can't get there without  
5   being 75?

6                   DR. ALBERT:  No, that applies to people of  
7   all ages.  It applies to anybody who comes for an  
8   evaluation in a major center where people have  
9   expertise in the diagnosis.

10                  DR. SOX:  If the prior probability is  
11   really 90 percent, how sensitive would the tests have  
12   to be to drive the probability low enough so that you  
13   wouldn't give a relatively benign treatment.

14                  DR. MCNEIL:  Right, that's what I sort of  
15   wanted to ask.

16                  DR. ALBERT:  But you know, our assumption,  
17   and I'm sure you know that all around the world, drug  
18   companies are racing one another to find better  
19   treatments for Alzheimer's disease.  And the ones  
20   that people are looking at right now are based on  
21   what everybody feels is a much better understanding

22 of the biology of the disease. My guess is that  
23 those treatments are not going to be as benign, and  
24 that's part of the reason that people are working so  
25 hard to be more accurate in preclinical diagnosis,

00068

1 because if all you were going to say is take  
2 Vitamin E and ibuprofen, and you will greatly reduce  
3 your risk, then there is no point in our spending all  
4 our time trying to figure out if people really are at  
5 risk, but the likelihood is that the treatments will  
6 not be benign.

7 DR. SOX: Deb?

8 DR. ZARIN: What's the treatment  
9 implications of making a better differential  
10 diagnosis in somebody who is demented? I mean, I  
11 mentioned that there are studies of the medication  
12 for people with Alzheimer's, but what would be the  
13 value? I guess there is value in prognostic  
14 information and perhaps in treatment information.

15 DR. ALBERT: I actually think with  
16 respect to certain diseases, the impact on the family

17 in making a better diagnosis is very very useful.  
18 The biggest place in which it's useful is in the  
19 comparison between frontal temporal dementia and  
20 Alzheimer's disease. Frontal temporal dementia  
21 progresses in a very different way, the patients are  
22 behaviorally as a group exceedingly disturbed,  
23 families have a great deal of difficulty dealing with  
24 those patients and understanding what's happening to  
25 them and are very often frightened by them. And if

00069

1 we can make an accurate diagnosis, we can enable them  
2 to see it more as a brain disease and to figure out  
3 how to intervene, and the interventions are  
4 considerably different than they are in Alzheimer's  
5 disease. So, I think there are a number -- that's  
6 the best example, but there are instances where  
7 accurate diagnosis really does make a difference.

8 DR. SOX: I have one last question for  
9 you. I'm impressed with the complexity of this  
10 problem and the short time line, and the requirement  
11 to set some priorities. Where would you put the  
12 emphasis in this study, on what sorts of applications

13 of imaging, on early diagnosis, on evaluation of  
14 patients with a clearcut decline, where do you think  
15 the most important area is likely to be if we had to  
16 set priorities and not try to cover everything?

17 DR. ALBERT: I think the most important  
18 thing is in early diagnosis because that's the place  
19 at which there's the greatest ambiguity and where  
20 imaging measures could add the most. So either in  
21 mild patients or in patients with preclinical  
22 disease, I think is where the benefit is the  
23 greatest.

24 DR. SOX: So screening?

25 DR. ALBERT: Yeah, or very mild disease.

00070

1 I mean, unless you have people who are really expert  
2 in evaluating patients, individuals who are mildly  
3 impaired tend not to get picked up, they go to their  
4 physician and they complain, and the physician says  
5 this is just normal aging and they should go home.

6 DR. SOX: Randel?

7 MS. RICHNER: You said earlier that there

8 is limited data so in that sense, if we're posing the  
9 question to just simply look at that population,  
10 would that essentially limit what the results could  
11 be from looking at the question? I mean, I'm very  
12 concerned, if we just look at the screening, the  
13 preclinical phase, is there enough literature to  
14 support covering PET in that particular diagnosis?

15 DR. ALBERT: I wouldn't look just at the  
16 preclinical phase. I would also include mild  
17 disease. In the early stages of imaging a lot of  
18 work was done in moderate and severe disease, but as  
19 time went on, more data was gathered in mild disease  
20 and I think there is a substantial amount of it.

21 MS. RICHNER: From your perspective, the  
22 most benefit, clinical utility is in that early  
23 population, but our question is how are we going to  
24 assess this for coverage in terms of looking at the  
25 overall population, and I don't know if we're biasing

00071

1 how we're looking at this if we just look at that  
2 small population where there might not be enough  
3 data, so --

4 DR. ALBERT: I think there is likely to  
5 be --

6 DR. RICHNER: -- the question is, are we  
7 going to look at the accuracy of the test, or are we  
8 going to look at -- what is the best utility?

9 DR. ALBERT: In mild disease, I think  
10 there is likely to be a good deal of data, and I  
11 think the data will be more likely to be related to  
12 the best technology that's currently available,  
13 because when imaging studies first were done, the  
14 thrill was just, could you say anything, and so very  
15 simple measures were used in moderate and severely  
16 impaired patients. But as the measurements became  
17 more sophisticated, they were done in milder and  
18 milder disease.

19 So I think, first of all, you will have a  
20 substantial literature in mild disease and I think  
21 also those are the measures that are more likely to  
22 be related to the current ones that are used.

23 DR. SOX: Tom?

24 DR. HOLOHAN: Let me extend and make a

25 statement and you correct me. If on the other hand

00072

1 we follow the prior recommendation and extend the  
2 review to patients with advanced disease, to be  
3 facetious, how much juice is there for the squeeze?  
4 You've said previously in advanced disease, even the  
5 very modest benefits of the pharmacologic therapies  
6 available are minimized, they are most beneficial in  
7 patients with early disease, they never return a  
8 person to the prior step, I think is the phrase you  
9 used. So what benefit would there be in looking at  
10 literature in people with advanced dementias,  
11 advanced Alzheimer's disease, for any diagnostic  
12 technique?

13 DR. ALBERT: I think the only benefit  
14 would be if there were treatments in some of these  
15 other diseases that might be beneficial. So for  
16 example, in multi-infarct dementia, if you could  
17 prevent more strokes, or if we understood more about  
18 treatments of frontal temporal dementia. If there  
19 were better treatments in those disorders, then  
20 diagnosis of more advanced disease might be helpful.

21 At the moment, the biggest benefit would be in  
22 multi-infract dementia.

23 DR. SOX: Ron?

24 DR. DAVIS: I think you also mentioned  
25 that there are a lot of drugs that are in

00073

1 investigation for treatment, so if we improve the  
2 ability to diagnose the disease early then we will be  
3 ready to go when new treatments come on line.

4 MS. RICHNER: Exactly.

5 DR. ALBERT: Well, that's why some people  
6 are working so hard with these imaging techniques,  
7 not because we have anything wonderful for treatment  
8 now, but we're anticipating that within a decade, we  
9 will have really effective treatments.

10 DR. DAVIS: And is that because, if I  
11 heard you correctly earlier, that we have a much  
12 better understanding of the biology of the disease  
13 and some of these new drugs under investigation are  
14 tailored to that understanding?

15 DR. ALBERT: That's exactly right, yes.

16 DR. SOX: Frank?

17 DR. PAPATHEOFANIS: One quick comment.

18 This is in sort of anticipation of what Deb has to  
19 deal with at AHRQ in commissioning the assessment,  
20 and I guess what I'm hearing is, I'm almost  
21 envisioning two groups or sets of ROC curves, the 90  
22 percent groups and then everyone else for each of the  
23 possible modalities. Also, the notion of  
24 preselection and the appropriate identification of  
25 patient, I just want to get reassurance from Deb that

00074

1 when she has framed this RFP that all of these data  
2 will be part of that assessment and that we can  
3 anticipate that Dr. Albert's comments are really  
4 going to be a part of this.

5 DR. ZARIN: You're talking about checking  
6 the data on the diagnostic accuracy for the different  
7 patient groups, or the different preclinical sort of  
8 mild impairment?

9 DR. PAPATHEOFANIS: Right. And top flight  
10 academic centers versus, you know, community,  
11 secondary tertiary centers. Is your RFP framed so

12 that we will capture those sorts of data as well?

13 DR. ZARIN: I don't think the RFP is the  
14 problem, finding the data may be the problem, but  
15 absolutely, I think the diagnostic accuracy part is  
16 in a way the easier part.

17 The question I have for the MCAC panel is  
18 the linkage with treatment, and we are hearing a lot  
19 of things about the value of prognostic information,  
20 and I think there is going to be a big question mark  
21 on -- there is going to be data on current treatment,  
22 but not for all these groups but for one of them at  
23 least, and there will be guesses about future  
24 treatment, which you could either model through  
25 sensitivity and specificity. So, it would be helpful

00075

1 to me to get a better understanding of your  
2 perspective on that, of how much treatment data you  
3 want in there, how much you will be interested in  
4 modeling, and saying well, if these new treatments  
5 are this good, it will look like this, if they are  
6 only that good.

7 DR. PAPATHEOFANIS: Thank you.

8 DR. SOX: Sean, then Barbara, and then  
9 we're going to take a break.

10 DR. TUNIS: I don't know if we mentioned  
11 this already, but one comment that had been made is  
12 that in patients with suspected dementia, that some  
13 number of them undergo repeated structural imaging  
14 studies over the course of their illness, and I'm  
15 wondering, you had mentioned that in the nursing home  
16 that you worked at that you were actually impressed  
17 with the infrequency with which patients with  
18 symptoms had imaging studies. And I just wondered,  
19 do you have any sense of whether both of those things  
20 might go on or in fact it's pretty atypical to be  
21 using structural imaging in this patient population?

22 DR. ALBERT: My experience with repeated  
23 imaging is that it's very infrequent for anybody to  
24 get repeated imaging, unless they're part of a drug  
25 study. In drug studies they are now doing repeated

00076

1 imaging, but just because of cost containment, I  
2 don't know of anybody that does repeated imaging

3 routinely on individuals who they have made a  
4 clinical diagnosis on. And as I have observed, it's  
5 been my observation that outside of major medical  
6 centers, even simple imaging that really needs to be  
7 done, such as CAT scans, aren't done.

8 DR. SOX: Barb?

9 DR. MCNEIL: I want to make sure I  
10 understand what treatment is that's fitting in here,  
11 and it's following up a little bit on what Deborah  
12 said. So the first thing that a model would do is,  
13 assuming we had the clinical, the patient groups  
14 correct, and assuming that we had the test  
15 characteristics correct. For early disease, we want  
16 to know what the impact on whatever the current  
17 treatments are. For early disease we might also want  
18 to model what new treatments are. For late disease,  
19 there are no current treatments.

20 DR. ALBERT: Well, it should be said that  
21 the people that are marketing the current drugs that  
22 are on the market for Alzheimer's disease are trying  
23 to argue in some instances that there medications are

24 also good for late disease. And I didn't mean to say  
25 that they weren't, they wouldn't slow up the disease

00077

1 by six months, but the data suggests that the later  
2 in the disease you take the medication, the less  
3 likely it is to put you back at the -- that you lose  
4 something by delaying treatment. It has been argued,  
5 and there are data to suggest that even treatment in  
6 more advanced patients is beneficial.

7 DR. MCNEIL: So we have for the late  
8 disease patients an estimate of how good the current  
9 treatments are.

10 DR. ALBERT: That's right.

11 DR. MCNEIL: Versus current treatments for  
12 early disease. So then, the final question regarding  
13 treatment is the issue that somebody raised regarding  
14 advanced disease and imaging, and you said the most  
15 important, or one of the most important benefits  
16 there was the differential diagnosis of multi-infarct  
17 dementia from Alzheimer's disease. So that would get  
18 complicated, because -- I'm talking out loud and I  
19 shouldn't. Because it would, you would have to

20 assume that the current treatments we were just  
21 talking about, current available treatments worked  
22 for Alzheimer's disease in some sense, and didn't  
23 work for multi-infarct dementia, and that there are  
24 treatments for multi-infarct dementia that actually  
25 delay the progress of multi-infarct dementia. Is

00078

1 that true?

2 DR. ALBERT: When I say treatments for  
3 multi-infarct dementia, I mean doing things that  
4 reduce your risk for stroke, so whatever would  
5 reduce, you know, treating diabetes, treating  
6 hypertension.

7 DR. MCNEIL: So you would be using the  
8 same data for that.

9 DR. ALBERT: That's right.

10 DR. MCNEIL: Okay.

11 DR. SOX: Is there actually evidence that  
12 using these treatments alters the course of  
13 multi-infarct dementia, or just that it might?

14 DR. ALBERT: That's a good question.

15 DR. SOX: Nobody knows?

16 DR. ALBERT: There might be, I just don't  
17 know.

18 DR. SOX: Deb?

19 DR. ZARIN: I just wanted to note, I think  
20 we're looking at late disease in two different ways.  
21 When I presented it, I talked about asymptomatic  
22 people or presymptomatic, then mildly impaired, and  
23 then people who clearly had dementia. And I think  
24 sometimes when you hear the term late disease, you  
25 think of people who clearly have dementia, but within

00079

1 dementia, people tend to use mild, moderate and  
2 severe, so I think basically, we are not all talking  
3 about severe dementia, we're talking about dementia,  
4 it must be clear that they have dementia, and then  
5 look at what stage of dementia they are in.

6 DR. JOHNSON: You commented on the  
7 preclinical detection diagnosis being exceedingly  
8 important in the management of the disease and also  
9 the usefulness in the accuracy of the differential  
10 diagnosis, being able to enable the interventions,

11 the early detections and those that had the  
12 ambiguity, using it as a screening in the early  
13 symptoms, mildly impaired. Given the availability of  
14 PET scanning, with coverage of the Medicare  
15 population in this disease management, how important  
16 -- and the expansion with coverage to be towards  
17 expansion of PET scanning availability, in that early  
18 disease detection, helping in that ambiguity, the  
19 differential, how do you see that in projecting the  
20 transformation in disease management towards the  
21 people with these various diseases, given your  
22 expertise?

23 DR. ALBERT: You're talking about with  
24 respect to the limited availability of PET scanning?

25 DR. JOHNSON: Yes. To have more

00080

1 availability of the scanners out there for a greater  
2 population to be scanned, and helping with the  
3 overall disease management.

4 DR. ALBERT: Well, I think first of all,  
5 you would need to be sure that PET scanning was

6 significantly better than the other available imaging  
7 modalities, and I think that's the question you have  
8 before you. Dr. McNeil mentioned that SPECT is much  
9 more widely available, and if you were going to make  
10 a comparison, at least I think you would want to  
11 compare those two, but also to structural MRI because  
12 in point of fact, a lot of measures that are now  
13 available that are very sophisticated for structural  
14 MRI are also very sensitive. And so, I think before  
15 you talk about trying to make PET scanning more  
16 available, you need to be sure that it really is  
17 substantially better than these other modalities.

18 DR. SOX: We're going to need to move on  
19 at this point. Alan, can your question be brief?

20 DR. GARBUR: Well, if Marilyn's going to  
21 be here, I really had a very simple question though,  
22 and it gets back to something that you had mentioned  
23 about screening. You said that preclinical disease  
24 would be the most promising time. Can you give us  
25 some language so that we can in turn give direction

00081

1 to AHRQ about how to define a population of patients

2 that you have in mind, in real concrete terms like  
3 people suspected to have early dementia, or  
4 asymptomatic with a strong family history. What do  
5 you view as this optimal target population?

6 DR. ALBERT: There are several. One is  
7 this population that's been said to have MCI, which  
8 stands for, it's a poor choice of a name, it stands  
9 for mild cognitive impairment. In fact, most of  
10 these individuals have a substantial degree of memory  
11 difficulty but they don't yet meet clinical criteria  
12 for Alzheimer's disease, so that would be one group.

13 A lot of people haven't used subjects  
14 defined precisely in the way in which MCI is defined,  
15 so they have talked about progressive memory  
16 complaints as another way in which the groups have  
17 been defined. And then there are some studies that  
18 have looked at people only based on their family  
19 history or their geno type, so they don't have going  
20 cognitive complaints, but they just have this risk  
21 because of their genetic background. So it's all  
22 three of those categories that have been looked at

23 preclinically.

24 DR. SOX: Well, thank you very much. I  
25 hope you enjoyed standing up.

00082

1 Now, Deb has to leave at 11. And what I  
2 would like to do now is really try to aim for several  
3 goals. The first is, I think we need to have some  
4 discussion about whether the model that Deb has put  
5 forth squares with the model that we have adopted for  
6 our own use, and so I'm going to go over that fairly  
7 quickly and then try to get a response from members  
8 of the panel about whether what she is proposing to  
9 provide as the framework for the EPC in fact is going  
10 to fulfill or perhaps even exceed the model that we  
11 have adopted.

12 Then I think we need to address, try to  
13 answer for her the questions that she's raised, and  
14 that's probably the next step. And then finally, we  
15 need to try to think of questions that she hasn't  
16 thought of, and we can pass those on to her.

17 I would like members of the panel to be  
18 writing down pieces of advice that we can put into

19 some sort of list of suggestions for Dr. Zarin and  
20 for the EPC, because ultimately the product of this  
21 high level discussion has got to be some practical  
22 advice about pitfalls and the like.

23               So, I'm going to use the transparency  
24 projector and just briefly go over our model, and I  
25 would like some comment about whether what Deb has

00083

1 presented covers the essential points on our model,  
2 and I will try to be quick about this.

3               So again, we first asked, is there direct  
4 evidence for the effect of the test on clinical  
5 outcomes because of a randomized study comparing  
6 patients who got the test and patients who don't,  
7 this being probably the best example of that, or are  
8 we going to be stuck with indirect evidence in which  
9 we measure test performance and then try to infer  
10 differences in test performance between the procedure  
11 under consideration and the standard test on clinical  
12 outcomes. And clearly in this example, we're going  
13 to be doing the latter.

14                   So then the first question is, is the  
15 evidence adequate to determine that the use of the  
16 test provides more accurate diagnostic information,  
17 so we have to evaluate studies of test performance  
18 according to standard criteria and decide whether we  
19 have enough, whether we are confident that  
20 differences or similarities in performance between  
21 the standard tests and the tests under consideration  
22 are real or not.

23                   And just to remind you that there is some,  
24 the key characteristics are the definition of the  
25 study population, the frequency with which patients

00084

1 who get the index test, for example PET scanning,  
2 also get the gold standard or reference test. Issues  
3 of whether the person interpreting the test is  
4 blinded to all other information. And finally,  
5 whether the reference test is a valid measure of the  
6 disease state, which is clearly a key issue here.

7                   Now remember, the reason we're doing this  
8 is to see whether what you're proposing fits with  
9 what we have adopted as our approach. So, then, the

10 next really important questions is to evaluate the  
11 extent to which the test under consideration  
12 correctly identifies patients that the current  
13 standard test fails to identify as disease. So does  
14 PET scanning in fact identify a population of  
15 patients that MRI for example, does not detect? Are  
16 the two tests complementary?

17                   And the best way to do that of course is  
18 to do both studies in a population of patients who  
19 get the gold standard test and then see how  
20 frequently patients who are negative on the first  
21 test are positive on the second test, and under those  
22 circumstances, the second test would provide  
23 complementary information and we would argue that  
24 both tests ought to be performed and not simply one  
25 or the other.

00085

1                   So, assuming that we have good studies of  
2 the diagnostic test performance, we then have to ask,  
3 is the evidence adequate to conclude that the  
4 improved accuracy will actually lead to better health

5 outcomes? And the approach that we took is really a  
6 modeling approach as well, that's less explicit than  
7 the decision tree that Deb laid out, but I think  
8 probably in fact leads to the same outcomes, but less  
9 quantitatively.

10               So first, the first step then in finding  
11 out whether difference in test accuracy would lead to  
12 important improvements in health outcomes, the first  
13 step would be to simply calculate the post-test  
14 probability of disease. If you know the prior  
15 probability and you know the sensitivity and  
16 specificity, you can calculate the post-test  
17 probability for the test under consideration but also  
18 for the sort of standard, the test in standard  
19 clinical use, and then evaluate in step two the  
20 potential impact of the difference in post-test  
21 probability and disease management.

22               Tests after all are just a device for  
23 moving probabilities around, and the question is, did  
24 two tests move the probabilities to a degree that is  
25 enough different to make a difference in the choice

1 of treatment and if not, you could argue that you  
2 don't need both tests.

3           And here is an example of a plot of  
4 pretest probability on horizontal against post-test  
5 probability for one of the PET scan applications that  
6 we considered in our November meeting. And here we  
7 have for example, CT scan in the solid line and  
8 negative CT scan, post-test probability with a  
9 negative CT stand versus post-test probability with a  
10 negative PET scan, and the difference in  
11 probabilities between here and here, the importance  
12 of those for choosing treatment is really the  
13 question at issue. And if the differences are small,  
14 for example down here, you might consider these  
15 differences to be so trivial that choosing between  
16 one test or the another really wouldn't be important,  
17 or alternatively, that PET scanning doesn't add  
18 something.

19           So, once we have determined the post-test  
20 probability for the test under consideration and the  
21 clinical standard test, then we ask, what is the

22 potential impact of the difference in post-test  
23 probability on management and health outcomes? And  
24 we make the point that distinguishing between -- the  
25 two tests are most -- a test is most likely to

00087

1 improve health care outcomes when the treatments  
2 themselves have an impact, either a big, there is a  
3 big opportunity to improve health outcomes or there  
4 are major harms associated with the treatment, in  
5 other words, where the stakes for treatment are  
6 substantial.

7           And if the stakes are minor for treatment,  
8 as in the use of vitamin E, for example, then being  
9 precise about the diagnosis isn't terribly important.

10           So, that's the model we have adopted, and  
11 I guess I'd like to ask the panel to briefly advise  
12 us as to whether what Deb is proposing is going to  
13 effectively follow the approach that we have  
14 deliberated on and decided to adopt. So I would like  
15 to open that discussion. Leslie?

16           DR. FRANCIS: Maybe a way to framework it  
17 is to go through things step by step, and I guess the

18 first step in what you had up there is the question  
19 of accuracy, right? And one of the things I wanted  
20 to be sure that you're going to get at is the  
21 question of accuracy for different populations and  
22 how much the data that currently exists is data that  
23 generalizes to folks out there who aren't in the  
24 fancy academic medical centers.

25 DR. ZARIN: I would assume that would be a

00088

1 high priority of the panel, and we would do that.

2 DR. SOX: So, let's try, since we don't  
3 have much time because Deb has to leave, so let's  
4 focus on the question of whether what Deb is  
5 proposing as a model for thinking this through  
6 sufficiently consistent with the model that we've  
7 adopted for evaluation of diagnostic tests. Alan, do  
8 you want to begin the discussion?

9 DR. GARBBER: I think it's a very faithful  
10 way to follow the guidelines that we've used. I have  
11 a lot of questions about the details which I hope  
12 we'll get into, but this is exactly the kind of model

13 I think we will need.

14 DR. SOX: Barb?

15 DR. MCNEIL: I agree. I thought it was a  
16 wonderful model and it was reinforced by a lot of  
17 what Dr. Albert said. One question I had relates to  
18 what Sean raised earlier and I don't know whether it  
19 comes into the discussion now, and the issue was,  
20 where does technical performance fit in? Is that  
21 something that we want to address at this point,  
22 vis-a-vis the model, or whether we want to hold it  
23 until later?

24 DR. SOX: Okay. Does anybody want to take  
25 issue with these two about whether what she's doing

00089

1 is kind of on track with what we're doing? I agree  
2 with you, I think it is and we should move on.

3 DR. ZARIN: There is one place where I can  
4 say that what I proposed is somewhat different from  
5 your model, I think it's different from your model.  
6 Your model sticks basically with treatment effects on  
7 health outcomes and your model doesn't seem to  
8 include the value of prognostic information or other

9 kinds of psychosocial benefits of getting a test  
10 result. That was something I skimmed over, and  
11 obviously it's something that people would care a lot  
12 about in this disorder. The question is, does this  
13 panel want us to consider that, or do you want us to  
14 stick very closely to what we can find in terms of  
15 treatment effects and health outcomes?

16 DR. SOX: Alan?

17 DR. GARBER: Well, actually, our language  
18 on the diagnostic tests states that if it contributes  
19 to patient well being, then it should be considered,  
20 so I think that's completely consistent.

21 DR. SOX: What I would like to do because  
22 we don't have a lot of time with Deb is go over the  
23 questions that you want us to try to answer right  
24 now, and the first one as I read it was the  
25 importance of the technical performance of the test,

00090

1 on the one hand should the focus be there, or should  
2 it be on the effect of treatment on outcomes.

3 DR. ZARIN: I don't think I asked that,

4 because I was assuming that both were important. But  
5 when you say technical performance, do you mean which  
6 particular machine, which --

7 DR. MCNEIL: Yeah, I meant for instance,  
8 full ring versus coincidence counting, because I  
9 think the data are going to come up different and  
10 they may come up more different depending on the age  
11 of the system, so I think somewhere we're going to  
12 have to incorporate those differences.

13 DR. ZARIN: I agree. I think it will be  
14 important to try to extract from any studies details  
15 about the kind of equipment that is used because  
16 obviously the operating characteristics could be  
17 different, and presumably results different from  
18 different pieces of equipment.

19 DR. SOX: Yes, Frank.

20 DR. PAPATHEOFANIS: Also on that issue,  
21 are you going to also define other modalities  
22 according to those criteria as well, in other words,  
23 different MR scanners, different CT scanners? That  
24 could be disastrous if you do, so you have to be  
25 careful with that question. It's not full ring

1     versus --

2                   DR. MCNEIL:   Are they that different?

3                   DR. PAPATHEOFANIS:   Well, you know, as  
4     technology has improved for those MR scanners, sure.

5                   DR. MCNEIL:   But if we took a cutoff  
6     point, if the document said no articles before  
7     date X.

8                   DR. PAPATHEOFANIS:   Okay, if we do it that  
9     way.

10                  DR. MCNEIL:   I think if we took a date X,  
11     whatever it is, the difference between full ring and  
12     coincidence counting is likely to be greater than an  
13     MR from 1999 versus an MR from 2000, don't you think?

14                  MS. RICHNER:   Would the literature support  
15     different types of equipment?   Do they divide it like  
16     that?

17                  DR. ZARIN:    I don't really know the answer  
18     to that.

19                  DR. MCNEIL:    You don't think we have a  
20     difference between coincidence and full ring?

21 DR. GARBER: This is bread and butter for  
22 AHRQ actually. I'm afraid this discussion may be  
23 getting a little more technical than is necessary.  
24 They have dealt with this kind of issue before and  
25 presumably the contractor will say what he examined

00092

1 and no more.

2 DR. TUNIS: I think it is worth pointing  
3 out at least as far as our having looked at the  
4 literature previously with PET in various oncologic  
5 applications that the vast majority of data is  
6 usually derived using full ring scanners, and so my  
7 best guess is we will be in the same situation here  
8 and will, you know, be kind of in somewhat the same  
9 difficult situation of then trying to make  
10 interpolations from inadequate data from different  
11 systems.

12 But you know, we -- and I agree, you know,  
13 AHRQ as well as the EPC, is sort of well armed to  
14 deal with that issue, although there remains a  
15 somewhat more policy issue around that of what to do  
16 about the relative paucity of data, for one. The

17 more prevalent type of PET system in fact will be  
18 where the paucity of data is.

19 MS. RICHNER: I have one more question on  
20 the treatment effects and health outcomes. Because  
21 it is so relatively benign, the types of treatments  
22 that are available, you have three drugs and you have  
23 the psychosocial implications for families,  
24 et cetera. I'm just concerned whether you know,  
25 we're being fair in looking at PET versus structural

00093

1 MRI, versus the others, and how that's going to  
2 affect treatment ultimately, because the treatment is  
3 not adequate for any diagnostic intervention. So I'm  
4 just curious as to how you are going to handle that  
5 in your assessment.

6 DR. SOX: Deb, I thought one of your  
7 questions was to what degree should we be looking at  
8 competing technologies.

9 DR. ZARIN: That's definitely a question  
10 and I was going to refer you back on to the previous  
11 discussion, which is, there is three approaches I can

12 think of. One is, we could look at the use of PET  
13 versus no technology other than, let's say the  
14 standard workup, which currently includes one  
15 structural imaging test, okay?

16 Another approach is to look at PET versus  
17 one of the best competing alternatives, but within  
18 that approach, we could either say we're going to do  
19 a primary review of all the PET data, but for the  
20 other approach we're going to depend on other  
21 systematic reviews, we're going to basically say, the  
22 literature seems to say that this is how good CAT  
23 scans are, this is how good MRIs are, but we're not  
24 going to personally, or the EPC won't personally  
25 review those data.

00094

1 And the third approach is that you want us  
2 to look at those data with the same level of rigor  
3 that we look at the PET data, and those have huge  
4 time and resource implications, so I would like your  
5 feedback on that.

6 DR. SOX: All right. Advice on this  
7 score?

8 DR. GARBER: I think you've answered your  
9 own question, number two. You can't avoid the other  
10 tests, so you can't do number one. And it would be  
11 difficult to accomplish number three.

12 DR. ZARIN: Not within the time frame, no.

13 DR. SOX: But you clearly have to specify  
14 the quality of the other systematic reviews and give  
15 us confidence that they're good. Alan.

16 DR. GARBER: Well, I don't know if this is  
17 the right point to inject this into the discussion,  
18 but it's such a critical issue for the analysis, I  
19 wanted to make sure we discuss this before you left,  
20 Deb, and that is, what the reference standard is  
21 here. I was getting a little concerned at the turn  
22 the discussions was taking earlier, at the idea that  
23 the reference standard might be something like  
24 autopsy, proven Alzheimer's. My objection isn't  
25 because that's infeasible, though it clearly is; it's

00095

1 because it's also largely irrelevant as far as I can  
2 tell. What we are interested in and what your model

3 really gets to are final health outcomes, and you can  
4 imagine an imaging modality that perfectly predicted  
5 the autopsy finding of Alzheimer's disease, 100  
6 percent sensitivity and 100 percent specificity for  
7 biopsy or autopsy proven Alzheimer's disease, but  
8 wasn't a very good predictor of response to  
9 treatment. An alternative test was inaccurate at  
10 diagnosing Alzheimer's disease according to  
11 pathologic criteria but was highly sensitive and  
12 specific at predicting response to the available  
13 treatments.

14               So the question is, which is a better  
15 test, and I think your decision analytic framework  
16 makes it absolutely clear, if you care about the  
17 health outcomes, the latter test is the better one.  
18 So I think that your direction to your contractor,  
19 there may be reasons you want to look at the autopsy  
20 literature and whatever literature there is on  
21 biopsies, but the heart of your model truly is which  
22 imaging modality or which diagnostic modality,  
23 including the timing of that modality, is most likely  
24 to improve health outcomes. And so, the whole

25 accuracy modeling sub -- the whole accuracy component  
00096

1 of the model really has to be oriented around  
2 response to treatment, and also prognosis to the  
3 extent you can model that.

4 DR. SOX: Well, Alan, so how do you under  
5 those circumstances, how do you advise them to  
6 actually measure conditional probabilities of  
7 positive tests given, how do you define disease? How  
8 do you find disease when it's really, a probability  
9 positive test given response to treatment, the  
10 probability of the test --

11 DR. GARBBER: Well, the positive predictive  
12 value here is going to be the probability of a  
13 positive response to treatment, given a positive test  
14 rule, right? And so that's going to be dependent  
15 upon the population screening. Hence, my question  
16 earlier to Dr. Albert about how you define this  
17 promising population. And then the next element will  
18 be, given the test result, how do people do, or given  
19 treatment, how do people do and how does that vary

20 with the test result?

21                   And one of the difficulties here  
22 undoubtedly is going to be finding out how imaging  
23 defined disease predicts response to treatment, will  
24 there be any literature on that. And I know that  
25 there is some, and there will probably be varying

00097

1 definitions of patient populations included in these  
2 studies. But ultimately we need to know, so it is  
3 going to be -- the conceptual issue I think is very  
4 straightforward. The practical issue, I have no idea  
5 about because you have to go into the literature to  
6 see if it really addresses this question.

7                   DR. ZARIN: Can I suggest that since on  
8 some level we're talking about future treatment, we  
9 know those data won't be there in terms of --

10                   DR. GARBBER: Well, I had a separate  
11 comment about future treatment. Let's stick with  
12 current treatment right now.

13                   DR. ZARIN: Okay. Let me just say that  
14 for some of the populations, the presymptomatic and  
15 the mildly symptomatic, perhaps a reference standard,

16 which I did list as course, so the ability to predict  
17 either that you're going to develop dementia, or that  
18 you're going to develop mild dementia, or within  
19 dementia that you're going to develop a clinical  
20 diagnosis of Alzheimer's disease.

21 DR. GARBER: Well, yeah, I can imaging  
22 that what you're trying to do is to estimate an  
23 absolute risk reduction if you want to call it that,  
24 in future development of severe disease, and you may  
25 have a relative risk reduction from a trial of Pacrin

00098

1 or one of the other treatments, and you want to apply  
2 it, and then you have some estimate of disease course  
3 from some other source of data, and then you apply  
4 that relative risk reduction to the disease course,  
5 so yeah, that is one approach that you can imagine  
6 taking to answer that question.

7 And then the imaging might be the key to  
8 predicting disease course.

9 MS. RICHNER: The problem is the  
10 treatments for this disease, like for instance Ivis

11 or something like that, if you use Ivis for  
12 intervascular, when you're doing a PTCA for instance,  
13 it may mean that you have reduction in restenosis or  
14 whatever, and that's a health outcome. But in this  
15 case, I can't see other than family intervention that  
16 there is going to be a difference in health outcome,  
17 so I'm very worried about this. I mean, unless we're  
18 looking at the early prognosis, looking just at that  
19 population, so that's what I'm concerned about, Alan.  
20 I mean, I think in theory this is all wonderful, but  
21 if the disease doesn't have good treatment --

22 DR. GARBER: We've heard that the  
23 treatment sets the disease back six months.

24 MS. RICHNER: Six months, I guess, is that  
25 going to be your health outcome measure then?

00099

1 DR. GARBER: Right, if that is true, I  
2 think that is highly significant and that would be  
3 reflected in the model.

4 MS. RICHNER: Okay. So the six-month  
5 improvement in health would be your measure then.

6 DR. MCNEIL: It's not improvement in

7 health, it's failure to deteriorate, and I can tell  
8 you from a personal experience with a relative with  
9 this disease, six months is a big deal.

10 MS. RICHNER: Oh yes, absolutely.

11 DR. MCNEIL: It is a big deal, so I would  
12 take a six-month stability course, I would take it  
13 any day.

14 MS. RICHNER: Compared to structural MRI.

15 DR. MCNEIL: No, no. You were asking  
16 about effects of treatment, the outcome, and I don't  
17 care how we get to the diagnosis. I was answering  
18 the question, would six months of stability in a  
19 patient with Alzheimer's disease be good, and I can  
20 tell you it is certainly good for the family and it  
21 is certainly good for the patient, because it reduces  
22 nursing home admissions in a fairly substantial way.  
23 So while it's not two years, six months is a  
24 nontrivial increment in this disease, I think.

25 DR. HOLOHAN: We routinely provide care in

00100

1 advanced cancer that doesn't give six months. That's

2 far more expensive and much more risk involved.

3 DR. ZARIN: I think the issue is  
4 (inaudible) in terms of the modeling and to see how  
5 it would play out, is that treating everyone. In  
6 other words, the current treatments have a very good  
7 safety record.

8 DR. GARBER: So that would be important to  
9 know. I think that you need to clarify those issues  
10 for us. That would be very important information.  
11 It's like if we ever find out that folic acid happens  
12 to prevent coronary disease, we probably aren't going  
13 to end up doing fancy tests to find out what people's  
14 folic levels are, or even a simple test, so that  
15 would be important to know.

16 DR. FRANCIS: I wanted to ask you about, I  
17 think it would be really neat if I were going to be  
18 on the panel, to have a little chart about all the  
19 possible benefits of treatment and the side effects,  
20 because at least as I as a nonphysician read the  
21 various materials that I was given, it seemed that  
22 there were, the drugs for which the six months was  
23 being associated, were drugs that are not as benign,

24 that there were at least some fairly significant  
25 dropout rates of patients using those drugs. And the  
00101

1 ones for which we didn't have as much evidence yet,  
2 or was a trial period, like vitamin E, that's an open  
3 trial as I understand it right now, that's the benign  
4 stuff. So it would be nice to have a little chart.

5           And I also would encourage you all, though  
6 I know it makes the job harder, when you got to the  
7 legal social kinds of things, if there is any data  
8 about not just whether there is a drug somebody can  
9 take that would slow it down, but about whether there  
10 are other helpful quality of life features for people  
11 about having a diagnosis, or whether there are  
12 problems about having a diagnosis if the diagnosis is  
13 inaccurate, just a neat little chart to do all that,  
14 to just show where there is data and where there's  
15 not data, because one of the things the panel can  
16 also do is to try to encourage more data.

17           And I know that's a mess, because it's  
18 just a huge set of questions, but I guess the way I'd

19 try to limit that would be to look at a defined  
20 population, like say folks with mild cognitive  
21 disorders.

22 DR. PAPATHEOFANIS: Hal?

23 DR. SOX: Before you go on, Frank, I want  
24 to make sure, Deb, are there other questions, are we  
25 helping here and are we getting the things that were

00102

1 most important to you, or were there some others you  
2 want to raise?

3 DR. ZARIN: You are helping. Let me just  
4 ask, were you suggesting that perhaps we limit the  
5 whole analysis to one patient group?

6 DR. FRANCIS: Not necessarily, but if you  
7 have to choose, I would choose it that way and try to  
8 have a chart about more of the possibilities, rather  
9 than limiting the outcome that you're looking at to  
10 the question of, do we in all across the whole  
11 patient population, do we see outcome differences,  
12 because we probably aren't, there probably isn't  
13 going to be data that's going to be that helpful to  
14 look at.

15 DR. SOX: Are we getting what you want, or  
16 are there some issues that you raised on your last  
17 slide that we haven't discussed?

18 DR. ZARIN: No. I think the last issue  
19 that would be helpful to me is to hear, I think Alan,  
20 I don't know who said it, not to consider new drugs  
21 or not during that question, what people felt about  
22 either modeling or possible effects of beneficial and  
23 negative new treatments, or the role that you would  
24 like sensitivity analysis to play in the model, or  
25 strictly data curving.

00103

1 DR. GARBBER: Well, I think you should do  
2 what you always do, which is to have an extensive  
3 sensitivity analysis. I have seen a number of  
4 studies that you had where they tried to speculate  
5 about new treatments and I have never found that to  
6 be useful unless there is some completely unexpected  
7 finding, and I'm sure that you will find that if you  
8 have a new treatment that's highly effective in  
9 Alzheimer's disease and highly risky at the same

10 time, then any test that improves accuracy of  
11 discrimination between people with the disease would  
12 be a good thing, but I don't think your contractor  
13 has taught anybody anything by going through that  
14 exercise.

15           If you have preliminary data that's  
16 reasonably solid on a new treatment versus writing  
17 this, then I think would be interesting to put in,  
18 but a speculative exercise about future treatments is  
19 likely to be completely uninformative, especially  
20 because you don't usually have any advance notion of  
21 how severe side effects will be.

22           DR. SOX: You raise an interesting point  
23 Alan, in the strategy of doing this study. Should  
24 you study the results of treatment and characterize  
25 side effect profile magnitude and impact, costs, and

00104

1 decide whether these treatments require a lot of  
2 diagnostic accuracy, because it's important to  
3 distinguish between whether or not to use them, or  
4 should you -- and therefore spend a lot of time  
5 working on the accuracy of the tests -- or should you

6 start with studying the accuracy of the tests very  
7 carefully and then work forward to the performance of  
8 the treatments.

9           If the treatments aren't any good or if  
10 they are very benign and not any good, then a lot of  
11 attention to characterizing precisely the performance  
12 of the tests in effect isn't very important,  
13 according to our model.

14           DR. ZARIN: Well, it might be important in  
15 terms of the prognosis and then the psychosocial  
16 effect. In other words, the potential harm from the  
17 test would be greatly influenced by the level of  
18 accuracy.

19           DR. SOX: One possible approach would be  
20 to evaluate the treatments, start your model, plug  
21 some numbers in for test performance.

22           DR. ZARIN: How good the accuracy would  
23 need to be to make this.

24           DR. SOX: Exactly. And then sort of, that  
25 would inform the degree to which you really want to

1 split hairs on diagnostic test performance.

2 DR. ZARIN: I actually agree with that  
3 approach, because I think that for example, we could  
4 look at the range of reported sensitivities and  
5 specificities and see whether, you know, being more  
6 precise about pinning down the exact numbers would  
7 actually end up mattering. And that also deals with  
8 the issue of which machine, and then you can say if  
9 the machine changed it this much, it might make a  
10 difference, but if it's within this general range,  
11 our conclusions would be about the same.

12 DR. SOX: Other comments on that strategy?  
13 Alan?

14 DR. GARBER: Well, this is a related  
15 issue, I don't know if it falls exactly on the topic,  
16 but as part of this exercise course, you'd have to  
17 figure out the effects of the treatments of the  
18 alternatives diagnoses, and I think this falls into  
19 your option one, two and three camp, where option  
20 three would be a primary literature review saying  
21 something like the effects of treating multi-infarct  
22 dementia. But I think your analysis will really only



18 doesn't seem to make a difference.

19 DR. ZARIN: Right. And when you decide  
20 they have enough infarcts to cause the dementia as  
21 opposed to just sort of background infarcts, so it  
22 gets very complex.

23 DR. SOX: I would like some comment on the  
24 question of the diagnostic problem to focus on. We  
25 heard earlier from Dr. Albert that she thought that

00107

1 the money so to speak, was in the presymptomatic and  
2 the very early cognitive impairment group of  
3 patients, and I would like the panel's opinion about  
4 that as guidance to Deb and the EPC. Any thoughts  
5 about that, where to focus the effort? Bob.

6 DR. MURRAY: I think that you have to take  
7 whatever data is available, but clearly the early  
8 preclinical studies, the studies of preclinical  
9 patients is where the money is, and it's also where  
10 the money is going to be. If it's classified as a  
11 screening test, you know, then it's a different  
12 coverage issue. However, presumably every patient  
13 being seen and being tested would have some level of

14 MCI that would justify the treatment.

15                   But going back to answer your question, I  
16 think while the data is probably going to be sparse,  
17 that is whatever data is available should certainly  
18 be included.

19                   DR. ZARIN: That population I think is  
20 where there will be the biggest mismatch between  
21 treatment data and diagnostic data, so there might be  
22 some diagnostic data, but there's some clinical  
23 trials going on. I'm not sure how much data we will  
24 find about treating people who are asymptomatic, you  
25 know, the high risk people who are in those trials

00108

1 now, and that's where we might have to model that.

2                   DR. FRANCIS: That's also where I'm most  
3 worried about the psychosocial stuff. So whatever  
4 there is out there, you have to look at.

5                   DR. TUNIS: One thing on the table is that  
6 I think we can't frame the coverage question as  
7 completely asymptomatic patients or patients with a  
8 genetic predisposition because it's not a covered

9 benefit under Medicare at all, so we couldn't  
10 actually approve it for coverage as a screening test  
11 in asymptomatic or predisposed patients. The only  
12 population, the next population I would guess would  
13 be mild cognitive impairment or some degree of early  
14 suggestive symptomology, but I think the others are  
15 off the table.

16 DR. SOX: So perhaps the person who is  
17 worried about forgetfulness, that would be --

18 DR. TUNIS: We would have to have some  
19 definable entity as mild cognitive impairment.

20 DR. SOX: Well, have we run dry on  
21 comments and advice?

22 DR. ZARIN: I feel like I'd better get  
23 going on this.

24 DR. SOX: You'd better get those folks  
25 started the afternoon.

00109

1 DR. ZARIN: This was very helpful to me,  
2 so I hope you all will remember this discussion when  
3 we come back to you with the assessment.

4 DR. SOX: I think it would be really

5 helpful to us Deb, when you get home and write this  
6 up for the EPC, to copy us, so as the panel then sees  
7 the product, they will be able to know what we  
8 focused on, what our concerns were, and focus their  
9 attention accordingly.

10 DR. ZARIN: And I would like to put in a  
11 plug, this actually was very helpful and I think that  
12 when we are addressing complex questions, as  
13 diagnostic tests tend to be, and certainly others as  
14 well, if we could have the opportunity to get the  
15 thinking of the Executive Committee prospectively,  
16 that would help us.

17 DR. SOX: Great.

18 DR. MCNEIL: Just to add to that plug, I  
19 personally benefitted enormously from Dr. Albert's  
20 presentation, so to the extent that for future  
21 activities of this sort, somebody like her provide an  
22 overview might also be useful.

23 DR. SOX: Well, we'll thank you very much  
24 and excuse you.

25 DR. ZARIN: Thanks for changing the time

1 of the agenda.

2 DR. SOX: Great, wonderful. We will take  
3 a break at this point, then come back and offer  
4 opportunity for public comment on this discussion and  
5 then see if there is any wrap-up discussion.

6 (Recess from 10:55 to 11:18 a.m.)

7 DR. SOX: Let's resume. The next item on  
8 the agenda, or the schedule, is an opportunity for  
9 comment from anybody in the audience about the  
10 discussion that we just had about framing the PET  
11 scan analysis for dementia. So, is there anybody in  
12 the audience who would like to step forward? There  
13 being none, I will then ask whether anybody on the  
14 panel would like to make any further comments,  
15 conclusions, regarding the discussion that we had  
16 before the break. Tom?

17 DR. HOLOHAN: Just as a matter of  
18 information, the VA owns more PET scanners than any  
19 other system in the world, and our current guidelines  
20 for the evaluation, diagnosis and treatment of  
21 Alzheimer's disease specifically do not recommend the

22 use of PET scanning. The guidelines state that the  
23 utility of PET scanning is as yet undetermined.

24 DR. SOX: Leslie?

25 DR. FRANCIS: I'd just like to make a

00111

1 comment about the prior public comment period, which  
2 was how helpful I thought Dr. Albert was. And it was  
3 really through the efforts of the Alzheimer's  
4 Association that she was brought here, and I thought  
5 that was very nice.

6 DR. SOX: Ron.

7 DR. DAVIS: Just following up on Tom's  
8 comment, I thought you might elaborate on the basis  
9 for that opinion from the VA.

10 DR. HOLOHAN: It basically stems from, the  
11 VA has a series of very active clinical guideline  
12 projects, probably extending at least back until, at  
13 the time Ken Kaiser had arrived as Undersecretary for  
14 Health, and guidelines have been developed, some  
15 jointly with the Department of Defense, but most  
16 internal to VA in many areas, and I won't go through

17 the list, it's extensive and covers mental health, it  
18 covers treatment, evaluation and treatment of  
19 ischemic heart disease. Usually they are done in  
20 conjunction with other professional organizations,  
21 and the geriatrics strategic health group or  
22 geriatrics clinical program in VA, commissioned the  
23 development of a set of guidelines and I think the  
24 University Hospital Consortium was a contributor, and  
25 it was done basically using mechanism of review of

00112

1 published articles, expert clinical opinion, whatever  
2 inputs most guideline processes have or don't have,  
3 and the conclusion was that PET scanning was not of  
4 demonstrated utility in the diagnosis of Alzheimer's  
5 disease at the present time.

6 DR. DAVIS: Was that recently, and have  
7 the results of that review been made available to  
8 HCFA and the AHRQ so they can use it in their study?

9 DR. HOLOHAN: We can do that. That was  
10 done in 1996, but they update every two years and the  
11 recommendations have not changed.

12 I should also note that at the 2001

13 meeting of the American Geriatric Society, there were  
14 53 presentations on Alzheimer's disease, none that  
15 related to the use of PET scanning for diagnosis.

16 DR. SOX: Well, before we go on, I would  
17 just like to find out whether anybody on the panel  
18 has serious concerns about the direction that the  
19 analysis of the PET scanning and Alzheimer's is  
20 taking. Are we all kind of on the same page in  
21 feeling that the approach that AHRQ is tasking the  
22 EPCs to perform is on the right track? Speak now or  
23 forever hold your piece. Okay, good.

24 MS. RICHNER: I have a process question,  
25 I'm sorry, but we've written operations and I want to

00113

1 know if we can meet the timings associated with the  
2 November MCAC panel discussion of this. I know Sean,  
3 at one point you said that perhaps we should extend  
4 this, but if we look at what we've written in terms  
5 of what has to happen next, I wonder, are we going to  
6 apply this to what we've asked them to do with the  
7 reviewers. We have that the panel chair assigns two

8 panel members, the Executive Committee assigns two  
9 primary reviewers, we have the Executive Committee  
10 choosing a small number of expert reviewers, we have  
11 the reviewers submit a written report to the panel  
12 executive, we've got all these different steps. Are  
13 all those going to happen before the November MCAC  
14 panel review?

15                   And if we find this too cumbersome, which  
16 I think it is, should we rethink all of this? It  
17 seems to me that if we're going to do this, we're  
18 doing part of it, you have asked the panel to help  
19 you form the questions, which we've done, so now what  
20 else are we going to do in this list of operations  
21 guidelines?

22                   DR. TUNIS: To just separate the question  
23 into two parts, I think we, it did seem to me the way  
24 we ultimately ended up potentially scoping the EPC  
25 report, will hopefully be doable in this sort of four

00114

1 months that's available. I'm sure I will hear back  
2 from Deborah and others if they took a different  
3 message away from this discussion.

4                   As far as the -- so, in that time frame of  
5   aiming towards a November panel meeting, I think  
6   we're still on for that. I guess in terms of the  
7   other list of procedures that are, that's part of the  
8   EC operating document, I guess I would sort of hand  
9   that over to you Hal in terms of whether we want to  
10  go through some of those things now or do it outside  
11  of the context of a meeting, or however you want to  
12  do it. We certainly shouldn't just ignore it.

13                  MS. RICHNER: One of the issues is what  
14  Dr. Holohan just brought up, there is VA information  
15  that's available, and according to this, there would  
16  be an opportunity for that to be part of their  
17  evidence report, and so that seems to me that's very  
18  important then. And there is also other  
19  opportunities that we've written in here about  
20  supplying other evidence, other public comment,  
21  getting content experts as part of developing the  
22  evidence report, so you know, we should give this  
23  every bit of weight that we have put into our  
24  guidelines.

25 DR. SOX: Well, the guidelines that we

00115

1 wrote and approved several times represent our best  
2 thinking about how to proceed, and we really won't  
3 have an evidence base for modifying them or  
4 discarding parts of them until we do them, so I  
5 personally believe that we should carry it out  
6 according to the way that we said we were going to do  
7 it, and then debrief ourselves about what made a  
8 difference and what didn't. But right now, what's in  
9 the interim guidelines represents the consensus of  
10 this group about the right way to go, and you were a  
11 major contributor to that.

12 MS. RICHNER: Right. So working back from  
13 November, you know, we have a lot to do here in terms  
14 of appointing committee members and reviewers and all  
15 that kind of stuff.

16 DR. SOX: Alan.

17 DR. GARBER: Well, Randel, I wasn't sure  
18 whether your point was that what's been proposed is  
19 too cumbersome and will take too much time, or that  
20 you're afraid we're going to slip and not do the

21 reviews and everything else that was called for in  
22 the guidelines, but whichever was the point you  
23 intended, I'd just like to make the observation that  
24 sometimes we will be dealing with technologies where  
25 it's truly a life or death issue or something that's

00116

1 really important like, you can imagine, we might hear  
2 about a treatment for Alzheimer's disease that really  
3 worked well, was incredibly effective but also very  
4 toxic, and it will be important for HCFA to assess  
5 the evidence and make the coverage decision rapidly.

6           And in other cases, the magnitude of  
7 benefits, potential benefit we're talking about will  
8 be much more modest, and now we're presented with a  
9 technology which is very complex in the sense that  
10 it's not that easy to figure out how big of impact it  
11 has on health outcomes, and would require substantial  
12 effort. And where frankly, the initial evidence  
13 seems to suggest its benefits will be modest, because  
14 it's not a treatment, it's a diagnostic test and a  
15 lot of people get treated anyway, and I would argue

16 that HCFA should have some flexibility about timing.

17           In a case like this, I think it's more  
18 important to get the answer right, to do a proper  
19 study and to get all the relevant information even if  
20 it means some slippage in the schedule. In the case  
21 where we have something that's dramatically effective  
22 or potentially dramatically effective, then we really  
23 need to adhere to a rapid schedule and get things  
24 done quickly.

25           So, basically, I agree with Hal. I think

00117

1 it's important to follow the guidelines in terms of  
2 being very complete in this process, and let's see  
3 how long it takes. This will be a very good test  
4 case.

5           MS. RICHNER: Fine.

6           DR. SOX: So in that respect, the possible  
7 action that we might be taking now is to schedule an  
8 alternative date for the panel, say six weeks or two  
9 months from the current schedule as a fallback, in  
10 case we do run into trouble. Ron, did you have  
11 something?

12 DR. DAVIS: I think Bob was first.

13 DR. SOX: Bob, please.

14 DR. MURRAY: Just a comment in support of  
15 Randel's observation. These guidelines were written  
16 as I recall, or they were at least initiated after  
17 the first two panels had met and the panels had been  
18 presented with, from my recollection, a rather  
19 disorganized packet of information that each panel  
20 member was expected to synthesize into a coherent  
21 logical analysis, and the step by step process was an  
22 attempt to deal with that so that the whole process  
23 would become more efficient. So, I would support  
24 Randel, that I think it's always subject to review,  
25 and just as we have updated these recommendations

00118

1 from time to time, I think that since we have seen a  
2 very thorough AHRQ or EPC analysis with each of the  
3 subsequent issues, I think that will change, you know,  
4 how rigidly we feel we have to adhere to the process  
5 that we set in place initially.

6 DR. SOX: Thank you. Ron?

7 DR. DAVIS: I agree too with the thrust of  
8 Randel's comment, and to be a little more concrete  
9 about it, the interim guidelines, I don't know how  
10 long we're going to call them interim, but they state  
11 that, as Randel was touching upon, that the panel  
12 chair shall assign at least two panel members to work  
13 closely with the authors of the evidence reports, and  
14 I'm not aware that this has happened yet. I know in  
15 the evidence reports that I have seen either on the  
16 EC or in our own panel, I am not aware that there has  
17 been an opportunity for panel members to work with  
18 the authors of those evidence reports, so it's  
19 possible this is the first opportunity that we have  
20 to get panel members involved on the ground floor in  
21 the preparation of this evidence report.

22 And Frank, are you the chair of the  
23 diagnostic imaging panel? So I think the point is,  
24 picking up from Randel's comment, is that now is the  
25 time and maybe Frank has already thought about this,

00119

1 where two members of the panel should be assigned to  
2 work immediately with whoever is doing this work on

3 this evidence report, and that could begin I guess as  
4 soon as tomorrow or next week.

5 DR. SOX: And I guess, just to put a  
6 little more pressure on you, Frank, I think the  
7 committee is basically saying let's do it the way we  
8 said we were going to do it, and I guess I hold you  
9 and Barbara and Sean, and the executive secretary of  
10 the panel, to do it.

11 DR. PAPATHEOFANIS: Right.

12 MS. RICHNER: Exactly, that's the point,  
13 to see if this works. I mean, when I tried the last  
14 time in February to outline the process, I didn't see  
15 how it could possibly work, so this is a good idea to  
16 try it, and see if we should modify it. I mean, we  
17 really should think about if this is indeed logical  
18 or sensible to have all of these review upon review,  
19 and this kind of thing.

20 I mean, even though it's not life  
21 threatening, even though we know that there's going  
22 to be a robust body of evidence, et cetera,  
23 et cetera, let's work with something that's

24 reasonable so that we don't continually get the  
25 reputation of being obstructive and taking too long.

00120

1 DR. SOX: Barb?

2 DR. MCNEIL: Well Hal, maybe I could ask  
3 Frank this, or Sean. The PET for breast is meeting  
4 on Tuesday, and the question would be, there is an  
5 evidence report and we are going to discuss it, and  
6 what would we want to do next with regard to the  
7 process that Randel is talking about. I don't  
8 believe we assigned two panel members to review it.  
9 On the other hand, I'm not even sure that would have  
10 been a helpful step to be honest, because it was  
11 reviewed by the group that did it, AHRQ or their  
12 subcontractors had outside reviewers review it, and  
13 I'm sure that those outside reviewers were much more  
14 tuned in to the clinical details and the technical  
15 details of the project or the technology than on  
16 average, a diverse group like this would be.

17 MS. RICHNER: There's some really good  
18 components of this in terms of getting the kind of  
19 people you need to get information and provide input.

20 DR. MCNEIL: The question is how many  
21 reviews, and I was just raising this one on Tuesday,  
22 and Frank, what do you think?

23 DR. PAPATHEOFANIS: I think Randel brought  
24 up the point that this is really the first time that  
25 we're going to actually get a chance to work through

00121

1 the complete mechanism. Both times prior to this,  
2 the PET, which would fall under the purview of the  
3 Diagnostic Imaging panel have come up, they've come  
4 up because other sorts of interest from the Agency  
5 and have really been guided by Sean's group. I think  
6 that as you said Hal, now the onus is on us, Barbara  
7 and myself, to pull this thing together in an  
8 appropriate way, and I think we can give it a good  
9 shot.

10 DR. MCNEIL: Can I just follow up though?  
11 Do we need to do anything for the technology that's  
12 coming before us next Tuesday? That's really what I  
13 was asking.

14 DR. TUNIS: Yeah. I'm not aware of

15 anything that is in the interim guidelines that is  
16 now a step that we can take between now and next  
17 Tuesday that we are sort of missing.

18           The other thing to point out, and I think  
19 we talked about it in February when these were  
20 presented, the EPCs do have their own very formal and  
21 explicitly defined process for developing a core  
22 technical advisory panel, a broader advisory panel  
23 and the whole sort of mandatory extensive outside  
24 review process. And what I don't believe we did  
25 since February was to see in what way the operational

00122

1 things described in your guidelines are either  
2 redundant to or coordinated with the EPC standardized  
3 process. So I think after this meeting, we will need  
4 to look at both of those things and to maybe come  
5 back either with a conference call of this group, or  
6 for our next meeting.

7           MS. RICHNER: I mean, if HCFA chooses 100  
8 percent of the time to go with AHRQ and using that  
9 model, then this, we need to put something in here to  
10 reflect that. If you're choosing ECRI or other

11 technology assessment bodies to do your evidence  
12 reports, then it may need something a little more.

13 DR. TUNIS: Right.

14 MS. RICHNER: But I don't how you choose  
15 who's going to do your evidence reports.

16 DR. TUNIS: At this point we are doing a  
17 hundred percent of our evidence reports now through  
18 the relationship with AHRQ.

19 MS. RICHNER: A hundred percent ongoing?

20 DR. TUNIS: Right. We are not doing any  
21 separate contracts with other providers. In fact,  
22 just since we're on it, what AHRQ is actually in the  
23 process of doing is setting up one of the EPCs to be,  
24 I don't know what the title of it is going to be, but  
25 sort of a rapid response TEC assessment group, who

00123

1 will be able to do much shorter turnaround TEC  
2 assessments, on the order of two to three months, in  
3 order for internal HCFA use as well as for things  
4 that are going to come to MCAC, but it will still all  
5 be done through our relationship with AHRQ and their

6 relationships with EPCs using kind of standardized  
7 EPC processes.

8 MS. RICHNER: I wasn't aware of that so  
9 that's helpful, thank you.

10 DR. SOX: I think Alan was next.

11 DR. GARBER: Well, you may know, the  
12 Medical Surgical Procedures panel had worked with  
13 previously written reports that were done under the  
14 EPC arrangement, or that were done by two evidence  
15 based practice centers, ECRI and Blue Cross/Blue  
16 Shield, so there was no opportunity to participate in  
17 the review because these had been previously  
18 completed. But I think that this point that we need  
19 to, basically the implication of Randel's and Ron's  
20 comments, I think is that maybe this process is  
21 redundant if they have gone through the full EPC  
22 review, and I think that it will be very helpful to  
23 see what your experience is with this upcoming one,  
24 where you will have the chance to apply it.

25 And again, the interim guidelines were

00124

1 meant to be advisory and there is a certain amount of

2 common sense involved here. I was the co-author of  
3 an EPC report that had something like I think 40  
4 reviewers, 30 or 40 reviewers, and they included  
5 people like the people around the table, people in  
6 the clinical area and so on, and yes, to some extent  
7 it's very likely that two reviewers from the panel  
8 are not going to contribute a whole lot that's new.

9           On the other hand, HCFA may sometimes want  
10 to work with existing evidence reports that are  
11 tweaked in a particular way to address a coverage  
12 question that might have been a little different from  
13 what the EPC had originally went to look at, and  
14 that's a situation where presumably having another  
15 review through the panels would be very valuable.

16           So at this point, I agree with Randel's  
17 suggestion, we need to collect the data and find out  
18 how this works, but at the same time I think  
19 everybody on the Executive Committee felt that some  
20 aspects, particularly the operational aspects, are  
21 going to have to be changed as we get more  
22 experienced with it, and I hope that everybody views

23 these as just broad parameters to work with, that you  
24 may have to bend a little bit. Now this does not  
25 mean that we bend a lot in something like whether to  
00125

1 use evidence and the adequacy of evidence criterion.  
2 We're talking about things like timing, who does the  
3 review, and so on.

4 MS. RICHNER: One of the key points that I  
5 don't want to give up in these operations is the  
6 public input in here, and also making sure that there  
7 is the opportunity for industry or clinicians or  
8 whatever, that have data that may or may not be  
9 published, that was one of the discussions we had in  
10 February, that could be included within the  
11 accumulation of evidence for the report, and so that  
12 step to me is very critical that we remain, keep that  
13 pure.

14 DR. SOX: My view is that what we have  
15 written down is the default and if you want to depart  
16 from that, you need to have a good reason and if you  
17 think it's appropriate to discuss it with Sean or  
18 myself, just to kind of reassure yourself that you're

19 on target.

20 DR. PAPATHEOFANIS: Just to reassure  
21 Randel, as far as the breast cancer PET topic that we  
22 will be reviewing next week, I think it's fair to say  
23 that whatever questions we had, the Agency was very  
24 responsive in addressing it on short term, and there  
25 was more than ample opportunity for public and

00126

1 industry to provide comments, so even if the letter  
2 of the guidelines wasn't followed, in a practical  
3 sense, there were opportunities.

4 DR. TUNIS: Just to point out that some of  
5 the reviewers for that particular evidence report, we  
6 asked Sam Gambhir to be one of the reviewers, who was  
7 one of the requesters of the original PET coverage  
8 document at UCLA, and we also gave it to Ellen Feigal  
9 and the folks at NCI to find a reviewer, of the  
10 actual EPC document, so I do think we're very  
11 guaranteed when we go through the EPC process, you  
12 know, of comprehensive review. And maybe it would  
13 help at the next EC meeting if we actually had

14 somebody closely associated with the EPC, either Deb  
15 Zarin or the person who actually runs it to actually  
16 walk you all through exactly what the process is, and  
17 you can see if there is any steps left that you still  
18 think this committee would have like to have as part  
19 of their deliberations.

20               For example, I don't believe standard EPC  
21 reviewers typically have industry reviewers, in part  
22 because if you have an industry reviewer, it's very  
23 hard to make sure that you have every potentially  
24 affected industry reviewer, and so I think they have  
25 taken the position not to have any industry

00127

1 reviewers, but that may be something that you would  
2 want to modify for this process.

3               DR. SOX: Barbara?

4               DR. MCNEIL: I like that idea, Sean.

5 Just from the phone call we had with some of the  
6 members, or all of the members of the Diagnostic  
7 Imaging panel this week, there was some, I think  
8 confusion is the right word, about what the criteria  
9 were for evaluating evidence from the original

10 articles by individuals who were on the panel, but  
11 probably weren't as familiar with the EPC approaches  
12 to things. I certainly felt very comfortable with  
13 what the contractor had done, and had set up the  
14 tables absolutely beautifully, and I think Frank did  
15 as well, but I'm not sure that everybody on the panel  
16 was totally tuned to their modus operandi, so this is  
17 to say, maybe if we had them come to the Executive  
18 Committee, we might want them also to say a few words  
19 at each of the committee meetings, so that everybody  
20 is on the same page.

21 DR. TUNIS: In fact, the EPC has  
22 commissioned a separate subgroup just to look at the  
23 issue of how evidence is rated, and so they could  
24 talk about -- that's a standardized methodology  
25 across all the EPCs and they could certainly describe

00128

1 that.

2 DR. PAPATHEOFANIS: That would be very  
3 useful.

4 DR. SOX: Ron?

5 DR. DAVIS: I wanted to return to this  
6 question of two panel members being assigned to work  
7 with the authors of the evidence reports. Barbara  
8 and Alan in their comments implied that the purpose  
9 of assigning these two panel members to work with the  
10 authors of the error was so that they could provide  
11 additional technical input, but that's not what I  
12 recall the main purpose being when we drafted this  
13 thing. I thought it was mainly to insure that at  
14 least two members of the panel would really be in  
15 tune to the material in that evidence report, akin to  
16 the NIH study groups where each grant proposal gets  
17 assigned, for example, two primary reviewers.

18 That guarantees that two people on the  
19 study group will really know the ins and outs of that  
20 particular grant proposal. Similarly, here, we will  
21 be assured that at least two panel members will  
22 really know the guts of the evidence report. So I  
23 see that as the greatest gain from this, and if they  
24 could provide some technical input that helps the  
25 contractor at the same time, then great, that would

1 be icing on the cake.

2 DR. SOX: Alan.

3 DR. GARBBER: Ron, just as a point of  
4 clarification, I agree with what you said. Actually,  
5 there are two aspects of this. One is the time at  
6 which they review it, and my expectation is that we  
7 always would have two panel members assigned to take  
8 primary responsibility. The question is, do they  
9 need to get involved at the time the EPC report is  
10 being prepared, and that's what is kind of an  
11 innovation in the process, to get them in that early  
12 in the development of the evidence report. And  
13 that's where I thought you would draw more on  
14 technical and clinical expertise at that part, but my  
15 expectation, and Hal, correct me if I'm wrong, is  
16 that we would always have two panel members take  
17 primary responsibility at the panel meeting for being  
18 intimately familiar with the report.

19 DR. SOX: Yeah. Actually, the innovation  
20 of having two panel members get involved was actually  
21 stolen right out of the play book of the U.S.

22 Preventive Services task force, where it has been  
23 extremely valuable to have task force members,  
24 usually two, involved with the EPC members in framing  
25 the questions, making sure the thing is clinically

00130

1 relevant and representing the, actually representing  
2 the EPC at panel discussions. It's a way of really  
3 us taking more responsibility, rather than just  
4 simply turning it over to somebody and then hoping it  
5 comes back in some kind of condition. It maximizes  
6 our chances that we will be able to do our best for  
7 the public.

8 DR. DAVIS: And I think there is a huge  
9 difference between that process and simply getting an  
10 evidence report at the end of the process. I mean,  
11 just reading a report at the end as opposed to being  
12 involved in its development, I just think that's a  
13 very positive innovation and a big difference.

14 DR. SOX: This stuff is our  
15 responsibility, not HCFA's responsibility.

16 DR. TUNIS: Just to be sure I understand,  
17 would it be then, the chair and the vice chair of the

18    respective panel will be the two people who will be  
19    assigned to work with the EPC on the evidence report.

20               DR. SOX:   I think they could assign  
21    themselves or they could assign somebody else.

22               DR. TUNIS:   From their panel?

23               DR. SOX:   From their panel.

24               DR. PAPATHEOFANIS:   And I think from a  
25    practical sense, from our conversation with our

00131

1    panel, there may have to be some translation of  
2    issues to the methodologists that make up the EPCs,  
3    because I sense from our panel that maybe their  
4    clinical knowledge would be useful, but their  
5    methodological understanding may not be up to par, so  
6    that may be just a practical issue, so it's either  
7    the chair and co-chair, or someone who is able to  
8    translate the methodology of the EPCs to somebody  
9    clinical.

10               DR. SOX:   I think somebody has to take  
11    responsibility for making sure that you start to have  
12    some telephone conference calls involving the two of

13 you and the team, and that it happens regularly  
14 because my opinion is it's a good approach, but  
15 somebody has to take the lead to make it happen.

16 DR. PAPATHEOFANIS: We will try to take  
17 this for our next meeting and run with, and let you  
18 know how it turns out. But up to now, even regular  
19 conversations with the full panel on the line has  
20 been pretty rare.

21 DR. SOX: Well, we're getting pretty close  
22 to calling a break for lunch, but if there are any  
23 other comments on processes, they have been really  
24 quite valuable and I don't want to cut them off.

25 DR. FRANCIS: I guess I wanted to say just

00132

1 briefly that one of the reasons why I like the  
2 interim guidelines is that I do they allow a lot of  
3 opportunity to get the question framed in a way that  
4 we really want to get responses from the public  
5 broadly, and so truncating it seems to be a bad idea,  
6 if that's what the drift of Randel's comments were.

7 And I was going to say something earlier,  
8 and I was delighted to hear from the two of you and

9 from Sean that there has been a lot opportunity for  
10 folks who might be interested in commenting to have a  
11 good sense of what those questions are, or at least  
12 we perceive right now that's the way you guys  
13 proceeded, so that it would be useful, I think as the  
14 meeting happens, to try to keep your blinders up to  
15 see whether that's actually happened, because it  
16 seems to me that we think now that there has been  
17 good groundwork laid, and I hope, you know, I hope  
18 that transpires, and so it would be nice to keep your  
19 ears to the ground, and see if that's really what  
20 happens.

21 DR. SOX: Well, we'll take up our task at  
22 one o'clock.

23 (Luncheon recess from 11:53 a.m. to 1:27  
24 p.m.)

25 DR. SOX: The next item on the agenda is a

00133

1 discussion of ambulatory blood pressure monitoring.  
2 The Medical Devices and Prosthetics Panel, which I  
3 chair, met to discuss this topic and made a

4 recommendation that's now up for consideration by the  
5 Executive Committee.

6               So what I'm going to do is present briefly  
7 our findings and our rationale, and then we'll have  
8 committee discussion, an opportunity for members of  
9 the public to comment, more discussions, and then we  
10 will take our votes.

11              Now, first a process note. For this  
12 discussion, the committee borrowed another play out  
13 of the play book of the United States Preventive  
14 Services task force and used what the task force  
15 calls an analytic framework, which is basically a way  
16 of dissecting out the problem of trying to understand  
17 the impact of the technology on health care outcomes,  
18 and then to look at the evidence for each one of the  
19 sort of nodes in the analysis. And if everything  
20 lines up nicely, then you've got strong evidence for  
21 a favorable effect on health care outcomes.

22              As a procedural note, I found that not  
23 only was this approach very valuable for steering the  
24 discussion, but it was also very helpful in drawing  
25 up my official chair's report of the discussion, and

1 I think it, in my opinion, it leads to a pretty good  
2 way to track what the committee's thinking was and  
3 how well it used the evidence in trying to come to a  
4 conclusion.

5           And so what we're going to do is to walk  
6 through my report following the nodes of the analysis  
7 that we did. Now we focused almost all of our time  
8 on the issue of using ambulatory blood pressure  
9 monitoring to try to identify people whose blood  
10 pressure was abnormal in the office but normal at  
11 home. And the question for these patients is  
12 whether, if you can identify people whose blood  
13 pressure is normal most of the time, whether perhaps  
14 they require no treatment or less treatment.

15           So, we parsed the problem the following  
16 way. Suspected white coat hypertension, which you  
17 might suspect on the basis of high blood pressures in  
18 the office but then the patient reports that when  
19 they take blood pressure in their home environment  
20 that it's normal. Then performing APBM. And then

21 there are basically two sort of ways you could go.

22           The first would be to ask, is doing  
23 ambulatory blood pressure monitoring, does it affect  
24 health care outcomes? And in order to draw a  
25 conclusion about this sort of direct line between

00135

1 doing the procedure and health care outcomes, you  
2 would do some sort of controlled trial in which some  
3 patients got the procedure, others did not, and then  
4 you measure health care outcomes downstream. There  
5 have been no studies which in fact tried to test  
6 whether APBM reduces the frequency of stroke,  
7 coronary artery disease and other complications of  
8 hypertension.

9           So instead, we followed this inner line of  
10 reasoning and first said, does APBM in fact identify  
11 people who have high blood pressure in the office but  
12 normal blood pressure at home? And that leads to key  
13 question number one. Then, given that you can  
14 identify patients who have a normal blood pressure at  
15 home but not in the office, do physicians actually  
16 change the management of these patients, and if they

17 change the management of these patients, what is the  
18 effect on intermediate health care consequences of  
19 hypertension such as left ventricular hypertension or  
20 the development of atherosclerotic plaque in the  
21 large vessels. And finally, if such effects do  
22 occur, what are the health care outcomes under these  
23 circumstances, so is there link between these  
24 intermediate outcomes and more distal health care  
25 outcome. So that's how we parsed the problem.

00136

1 I organized my report basically to touch  
2 on each of these key questions. The first question,  
3 we basically took as a given, relying upon the  
4 responsibility of the Food and Drug Administration to  
5 find out whether a technology in fact does what it's  
6 supposed to do. So we took that truth as a  
7 statement, as a given.

8 The second question is, do physicians  
9 withhold treatment from patients who are found to  
10 have normal blood pressures at home, or who meet the  
11 definition of white coat hypertension?



8 have untreated white coat hypertension have  
9 intermediate health outcomes that are the same as  
10 people with normal office blood pressure? And what  
11 we found in looking at probably 15 different  
12 cross-sectional studies which simply looked at people  
13 who either had sustained hypertension or people with  
14 white coat hypertension, and looked at the frequency  
15 of thickened left ventricle, carotid artery plaque  
16 and the like, and what we found was that most of the  
17 studies showed that patients with white coat  
18 hypertension had these intermediate measure of health  
19 care outcome that were somewhere between people who  
20 had normal blood pressure all the time and people who  
21 had high blood pressure all the time, and the  
22 prevalence of these intermediate outcomes varied  
23 between studies, in general correlating with how high  
24 they set the definition of white coat hypertension.  
25 So a study that defined white coat hypertension as a

00138

1 diastolic of 85 or less at home would have a higher  
2 prevalence of increased LV mass than a study that

3 defined it as 80 millimeters of mercury or less.

4                   But, sort of getting back and looking at  
5 it at the 30,000 foot level, it was pretty clear that  
6 the majority of studies showed that patients with  
7 white coat hypertension have a greater prevalence of  
8 these intermediate outcomes, in other words, white  
9 coat hypertension is not necessarily a benign  
10 condition, and there needs to be concern about what  
11 you should do with these people and what level of  
12 diastolic blood pressure it would be appropriate to  
13 reduce or even stop antihypertensive medication.

14                   Now, the key question number four, the  
15 patient with untreated white coat hypertension and  
16 intermediate health care outcomes have final health  
17 care outcomes that are the same as patients with  
18 normal office blood pressure. And there we really  
19 only had one study to rely on which was a cohort  
20 study in which they found that the stroke incidence  
21 in patients with white coat hypertension was similar  
22 to that of normotensive people, and much lower than  
23 patients with hypertension at home on ambulatory  
24 blood pressure monitoring. So, stroke rates more

25 similar to normotensive people than to people with  
00139

1 sustained hypertension.

2           The problem with this study was that it  
3 wasn't clear to what degree the patients with white  
4 coat hypertension were on treatment, some were on  
5 treatment, some weren't, and it was really not  
6 possible from the data presented in the study to link  
7 the presence or absence of treatment or withdrawal of  
8 treatment to the health care outcomes.

9           Also, it was a short-term study that  
10 looked simply at the amount of medication the  
11 patients were on, rather than long-term outcomes. So  
12 it really didn't test the hypothesis that we were  
13 concerned about.

14           Now, we had quite an extensive discussion  
15 of these data. It appeared that white coat  
16 hypertension is not a benign condition but it's  
17 simply not clear how treating patients on the basis  
18 of their ambulatory blood pressure at home, what  
19 effect that has on health care outcomes. So the data

20 set in some senses is seriously missing key items of  
21 information that relate the treatment or the  
22 management of patients with white coat hypertension  
23 to health care outcomes.

24 We were aided in our discussion of this  
25 problem by several national world experts on

00140

1 ambulatory blood pressure monitoring, and although  
2 they were people who subscribed to consensus  
3 statements that advocated ambulatory blood pressure  
4 monitoring and they also, their clinical expertise, I  
5 think made a quite a strong impression on our  
6 committee.

7 In the event, we eventually had a motion  
8 on the table, and I'm going to read that motion. The  
9 panel believes that the evidence in cross-sectional  
10 studies indicates that people with white coat  
11 hypertension have intermediate harmful health care  
12 outcomes as compared with normotensive people. So  
13 again, white coat hypertension is not a benign  
14 condition.

15 Although higher quality evidence is

16 lacking and data on true health care outcomes such as  
17 mortality and cardiovascular disease morbidity are  
18 sparse and of relatively low quality, the panel  
19 believes that the use of ambulatory blood pressure  
20 monitoring in diagnosing white coat hypertension can  
21 help individual treatment of patients with white coat  
22 hypertension, which may in turn improve health care  
23 outcomes. Therefore, the panel supports ABPM for the  
24 diagnosis of white coat hypertension in patients  
25 suspected of this, if guidelines are developed for

00141

1 selecting patients for APBM, for monitoring, and for  
2 deciding when to treat and when to withhold blood  
3 pressure medication from patients who prove to have a  
4 lower blood pressure in their home setting than they  
5 do in the office setting.

6           And finally, the panel recommends that  
7 studies be done to better define white coat  
8 hypertension, and to identify patients with white  
9 coat hypertension who are at relatively low risk of  
10 developing cardiovascular disease side effects.

11               So, we had a discussion of this, we made  
12 some modifications, and eventually this panel voted  
13 unanimously to approve this motion, which was Ron  
14 Davis's contribution. If you look closely, I think  
15 what happened in this discussion, it's pretty clear  
16 that the evidence base leaves some of the links in  
17 this logical train of thinking pretty open, that is  
18 to say unproven. The committee's decision to  
19 ultimately endorse, if you like, ambulatory blood  
20 pressure monitoring, I think was partly the influence  
21 of the experts who came both to advocate but also to  
22 help us think about the problem. And we became  
23 convinced that if physicians knew how to manage  
24 patients with white coat hypertension and actually  
25 managed them thoughtfully and cautiously, that health

00142

1   care outcomes would be improved and costs might be  
2   lowered.

3               However, I think it's fair to say that  
4   those two events, that is appropriate management of  
5   these patients, really remains in the hope category  
6   rather than in the proven category. And so the final

7 bottom line as I interpret it was, we became  
8 convinced that ambulatory blood pressure monitoring  
9 had the potential, as yet unproven, to improve health  
10 care outcomes in patients with white coat  
11 hypertension if physicians are selective in choosing  
12 patients for monitoring and cautious about altering  
13 treatment after diagnosing white coat hypertension.

14 I think the process that we followed in  
15 coming to this conclusion was in my opinion a good  
16 process. We dissected out the problem, we had good  
17 information about the evidence, we heard from a  
18 number of expert clinicians with a lot of experience  
19 in the field, and ultimately the panel made its call.

20 So, with that as a rather lengthy  
21 introduction, the first step in the discussion will  
22 be to hear from the panel. Who would like to start  
23 the discussion. Ron.

24 DR. DAVIS: I'm on the panel with Hal, I  
25 am the vice chair of the panel, so perhaps I will add

00143

1 a few comments to provide a little bit more

2 information. I think Hal did a very nice job in  
3 summarizing the deliberations of our panel. I think  
4 what was most persuasive, without repeating much of  
5 what Hal said, was that there is evidence that white  
6 coat hypertension is associated with intermediate  
7 health outcomes, which are intermediate in occurrence  
8 between normotensive people and people with sustained  
9 hypertension, so that was compelling to our panel.  
10 And I think it was a feeling of the panel that even  
11 though those were not what we refer to as true health  
12 outcomes, that there is likely to be a relationship  
13 between intermediate health outcomes like left  
14 ventricular hypertrophy and risks of more serious  
15 adverse health outcomes.

16           We also did hear testimony which I think  
17 was compelling to the panel that APBM is useful in  
18 clinical decision making. And some of that was  
19 presented to the panel in the public comment period  
20 and submitted beforehand as well, and I think we went  
21 through a real educational process, I know I did, and  
22 learned a lot about this issue, even in some  
23 conversations with some of the experts during the

24 breaks and the panel deliberations.

25                   The end of the statement that the panel

00144

1 approved I think is important. It offers a few  
2 caveats, and those caveats were put in there because  
3 of concern that this policy, if put into place by  
4 HCFA, this recommended policy if put into place by  
5 HCFA could lead to some abuse. Certainly we didn't  
6 think it would be appropriate that every patient who  
7 is hypertensive in the doctor's office be put on  
8 ambulatory blood pressure monitoring, so in an  
9 extreme case, this could get out of hand. So we  
10 thought if we added the caveats to the policy  
11 statement that we were adopting, that that would  
12 mitigate against that problem.

13                   And those caveats are, as Hal mentioned,  
14 that guidelines really should be developed for  
15 selecting patients for ambulatory blood pressure  
16 monitoring, that's number one, and number two, that  
17 there needs to be the development of guidelines for  
18 managing people who are diagnosed with white coat

19 hypertension.

20                   Just to give you an example, talking with  
21 one of the experts, how do you select patients for  
22 ambulatory blood pressure monitoring? One of the  
23 experts mentioned to me for example that you could  
24 have somebody who gets his appropriate three  
25 independent measurements of blood pressure in the

00145

1 office and then might be recommended for blood  
2 pressure measurement outside the office, not  
3 continuous ambulatory measurement, but through a home  
4 device or some other device that we see in shopping  
5 malls or the like, and for example, there could be a  
6 requirement that the person have three independent  
7 measurements outside the office which are normal,  
8 which might then lead to a diagnosis of white coat  
9 hypertension. So that might be a criterion for  
10 diagnosing white coat, for provisionally diagnosing  
11 white coat hypertension, which then would be  
12 confirmed by ambulatory blood pressure monitoring.  
13 I'm not sure that that's reflected in policy from any  
14 organization but that was how one of the experts

15 approaches it in his own office, so that's an example  
16 of how such a guideline could be developed for  
17 determining how to select people for ABPM, first  
18 require three independent measurements outside the  
19 office that show normal blood pressure before doing  
20 the continuous ambulatory monitoring.

21           Then on the second caveat, how does one  
22 manage white coat hypertension, one of the experts  
23 told me that his approach, if I remember correctly,  
24 went something like this. If there was no sign of  
25 end organ damage, no nephropathy, retinopathy and so

00146

1 on, then he might be inclined to monitor the person's  
2 blood pressure, monitor the person for the  
3 development of end organ damage and not treat with  
4 medication in the interim, but then at the first sign  
5 of end organ damage, then begin treatment. Again,  
6 there might not be much data for that approach, it  
7 might not be a policy that had been enshrined by any  
8 medical organization, but this is the guideline that  
9 this particular expert follows in his own office.

10                   So, I think it was the panel's feeling  
11   that we need to at least develop consensus guidelines  
12   for how to do these two things so that the whole  
13   process doesn't get out of control.

14                   So that, I think provides a little bit  
15   more of the thinking of the panel that went behind  
16   the adoption of this statement, which I look at as  
17   kind of a compromise statement that the panel adopted  
18   to bridge between positions that might have gone  
19   toward rejecting any sort of use of the medical  
20   technology versus something that would have been much  
21   more permissive.   Thanks.

22                   DR. SOX:   Okay.   So our goal is to have a  
23   discussion, hear from members of the public, more  
24   discussion, and then take a vote on whether to  
25   endorse this recommendation or not.   Alan.

00147

1                   DR. GARBER:   I should preface my comments  
2   by saying that I was a member of the medical advisory  
3   panel for the technology evaluation center that  
4   reviewed this same topic and determined, the panel  
5   had voted that it did not have adequate evidence to

6 support its effectiveness. I think that your panel  
7 did a really excellent job of finally drafting this  
8 and I think it's important to understand why people  
9 might come out differently on this issue.

10                   And to my own mind, if you look at this  
11 case of white coat hypertension in particular where  
12 the outcomes are intermediate, most of my colleagues,  
13 I believe, treat those people as though they are  
14 hypertensive, and don't worry about doing the  
15 ambulatory blood pressure monitoring, figuring that  
16 the risk is elevated, and I'm sure there are other  
17 physicians who choose not to treat, and the crux of  
18 the issue is that we don't really have definitive  
19 data to tell you which approach is right, and if you  
20 confirm the diagnosis of white coat hypertension by  
21 doing the ambulatory blood pressure monitoring, we  
22 just have a very sketchy evidence base on which to  
23 determine the optimal treatment.

24                   I think that was very influential in the  
25 TEC program's medical advisory panel's conclusion

1 that the evidence was not adequate, and I think that  
2 reasonable people might differ, and your panel's  
3 decision to craft the indications as narrowly as it  
4 did, although I don't entirely agree with it, I think  
5 was a very well considered response to this issue.  
6 But the bottom line for me is that, although I may  
7 disagree with the conclusion from all that we've read  
8 in the minutes and so on and all that we've heard,  
9 the panel really did follow the procedures that were  
10 prescribed and I don't think the role of the  
11 Executive Committee is to second guess the  
12 conclusion. The role of the Executive Committee is  
13 to decide whether the panel followed the procedures  
14 that would lead to an evidence based conclusion, and  
15 it seems to me that it very clearly did do that.

16 DR. SOX: Thank you. I think that's an  
17 important statement for us to remember. If we depart  
18 from procedure in a way that could lead us to make a  
19 wrong conclusion, that is an indication perhaps for  
20 the Executive Committee to send it back basically,  
21 but otherwise, I think we put the evidence out there  
22 and we trust our colleagues to do the best with the

23 evidence. Barbara.

24 DR. MCNEIL: I agree with Alan. I have  
25 one quick question actually, maybe to Sean. I think

00149

1 you did a great job with this and it's clear the data  
2 are lacking. Would it every be possible to send a  
3 message to the Heart and Lung Institute that as part  
4 of their ongoing funding of the Framingham study,  
5 which I think that they are still doing, they  
6 identify patients with white coat hypertension, and  
7 then send them on to some kind of approach similar to  
8 one of the ones that Ron suggested, and then just  
9 follow them? Because it would be a very small amount  
10 of money added on to a quite large -- the amount of  
11 money they would have to pay would be I think quite  
12 small, because these patients are already in the  
13 system, having been evaluated, and are being followed  
14 forever as far as I know, and this might be one way  
15 of actually answering the data limitation that you  
16 have.

17 DR. TUNIS: Well, interesting. Actually,

18 one of the points we will talk about briefly later in  
19 terms of the future roles of the Executive Committee,  
20 one of the ideas I wanted to put on the table for  
21 your discussion was almost precisely this, which is  
22 essentially the Executive Committee identifying  
23 critical research priorities related to coverage  
24 issues that do get discussed here, and it sounds like  
25 that essentially what you're identifying, and not

00150

1 only a priority research question, but also a  
2 potential platform of existing research on which that  
3 can be done.

4           As you know, we don't have any particular  
5 leverage to influence funding decisions by NHLBI, but  
6 you're welcome to recommend them to anyone you see.  
7 But, in terms of how we actually could go about  
8 turning that into something that occurs, other than  
9 to have this body endorse that as a recommendation, I  
10 think would be of some value.

11           DR. SOX: Any other comments? Tom.

12           DR. HOLOHAN: Was there any evidence  
13 presented to the panel or the issue ever raised about

14 the relative utility of patient self monitoring  
15 versus APBM?

16 DR. SOX: That's a crucial question. In  
17 other words, what does APBM offer at the margin as  
18 compared with simply taking your blood pressure at  
19 home with a cuff that you buy at the local five and  
20 dime store, or I guess 25 cents and dollar store.

21 DR. GARBBER: Would that be where Wal-Mart  
22 steps in?

23 DR. SOX: And I don't recall that we saw  
24 any studies that addressed that question, which is  
25 such an important question, I think I would have

00151

1 remembered it if there had been. Frank, do you?

2 DR. DAVIS: I don't remember that  
3 information being presented to us either.

4 DR. HOLOHAN: Following on that same track  
5 in a sense, the key question one said the panel took  
6 the truth of this statement that it does detect  
7 patients who have normal BP at home as a given,  
8 relying upon the FDA PMA process, that these devices

9 are accurate. The only information I found in the  
10 package sent to me from Space Labs said this was a  
11 510.K approval by the FDA, which ordinarily requires  
12 no clinical evidence, all you have to do is  
13 demonstrate it was equivalent to a product that was  
14 on the market before 1976.

15           The reason I bring this up is the British  
16 Hypertension Society and AMI I guess, together  
17 recently had a couple of publications, one in Lancet,  
18 that looked at accuracy standards for home blood  
19 pressure monitors, which essentially are also  
20 marketed without significant clinical evidence, and  
21 found that many of them didn't meet the British  
22 Hypertension Society's accuracy standards. So if  
23 Medicare or HCFA is the going to pay for the use of  
24 APBM, would there be any requirements that those  
25 specific devices should have paced the AMI or British

00152

1 Hypertension Society's specs?

2           I know the VA is right now, we pay for  
3 home blood pressure monitoring cuffs for our patients  
4 and we have done a review and found a lot of the

5 devices that we have been buying did not pass the  
6 British tests for accuracy. Kind of a long  
7 convoluted question, but I think it gets to the issue  
8 of whether the measurement in the office and a  
9 measurement somewhere by something at home allows you  
10 to come to a rational conclusion about the true  
11 existence of hypertension.

12 DR. TUNIS: I think one of the speakers  
13 that's going to come up here in the public comment  
14 period represents Space Labs and I think can sort out  
15 the 510.K issue in terms of the clinical data. My  
16 recollection of the TEC assessment report and other  
17 information we reviewed internally, was that the FDA  
18 does apply very good technical standards in terms of  
19 accuracy and reproducibility of the measurement, as  
20 measured against the gold standard, but doesn't  
21 require the clinical data in terms of does the use of  
22 the device make a difference in terms of patient  
23 outcomes. But I think Grant can speak to that issue  
24 a little bit more.

25 And I don't know if there is anyone in the

1 audience that recalls, I thought there were some  
2 studies that looked at self monitoring of blood  
3 pressure with a cuff versus the ambulatory blood  
4 pressure device, but I don't actually remember the  
5 design or the results of those studies.

6 DR. SOX: I have some faint memory of that  
7 going back to when the ACP actually reviewed the  
8 subject. And my recollection actually was that home  
9 blood pressure monitoring with a regular cuff looked  
10 pretty good, but I don't remember the data.

11 MS. MARX: There were several patients who  
12 testified before the panel and talked about  
13 ambulatory blood pressure monitoring detecting high  
14 blood pressure that they had while they were  
15 sleeping, so clearly they wouldn't have been able to  
16 detect that themselves.

17 DR. SOX: Thank you. Ron?

18 DR. DAVIS: I just wanted to comment on  
19 this key question number one as a follow-up to Tom's  
20 question, and even though your write-up, Hal, says  
21 the panel took the truth of the statement as a given,

22 I do remember looking for an answer to this question  
23 as we went through the various studies, and there  
24 were many studies that did allow us to answer this  
25 yes, even though we more or less took it as a given.

00154

1 So there are I think substantial data to allow us to  
2 answer that key question number one as yes.

3 DR. SOX: Leslie.

4 DR. FRANCIS: I just wanted to be sure I  
5 understood this recommendation, because the idea is  
6 this is supposed to serve as a recommendation that  
7 will be helpful to people, right? We don't have to  
8 listen to it and it's not binding, but it would be  
9 helpful. And when I first read this, and your  
10 comments were helpful but I just want to be sure I  
11 really understand this, when I first read this, what  
12 I asked myself was, does this mean that what the  
13 panel was really saying was unless guidelines get  
14 developed, don't move forward, and when they do, move  
15 forward. Or was what the panel really saying, HCFA,  
16 you know, go ahead and cover it, and we kind of are

17 making a strong recommendation to you that it would  
18 be a good idea to cover with guidelines. And I was  
19 just trying to figure out how strong or weak or  
20 what -- I'm really thinking about -- see, I am a  
21 philosopher, so I'm really thinking about whether you  
22 wanted guidelines to be a necessary condition.

23 DR. TUNIS: And by the way, I was going to  
24 ask you all that question before you were done, which  
25 is exactly that question, were you saying that we

00155

1 should essentially, when we have treatment guidelines  
2 and when we had a definition for suspected white coat  
3 hypertension then we should cover, but you weren't  
4 going to offer us either of those?

5 DR. SOX: Well, Ron will probably have his  
6 recollection of those events and then I will try to  
7 see if ours match up. Ron?

8 DR. DAVIS: Yes. Well, I don't recall  
9 that the panel really laid it out all out in terms of  
10 what it meant by this language, but I can tell you  
11 what was going on in my mind as I put this language  
12 together and then threw it out to the panel, which as

13 Hal mentioned, did amend it in a few ways. My  
14 thinking was that if HCFA agreed with this approach,  
15 that HCFA might make a policy decision that would go  
16 something like this, the Agency has reviewed this  
17 issue, it's heard from MCAC, it agrees, it would like  
18 to cover ambulatory blood pressure monitoring  
19 consistent with its statement. We do think we need  
20 to have some limitation on its use, as indicated by  
21 this statement, and we invite public comment on what  
22 would be an appropriate guideline for selecting  
23 patients for APBM and managing white coat  
24 hypertension. And my guess is that the experts who  
25 deal with this situation would very quickly submit a

00156

1 guideline that had somebody's imprimatur, which would  
2 then allow HCFA to go forward. So I think if HCFA  
3 would announce some agreement with this approach,  
4 then guidelines which at a minimum would be consensus  
5 based guidelines, would be developed rapidly.

6 DR. SOX: I didn't agree with the motion  
7 as originally stated and either went along with this

8 amendment or made the amendment, I can't remember,  
9 but my concern was trying to minimize potential  
10 collateral damage from the enthusiastic use of  
11 ambulatory blood pressure monitoring and then  
12 wholesale discontinuing medication of patients whose  
13 blood pressure was lower at home than it was in the  
14 office, which could lead to a long-term harm, as our  
15 experts testified. And our experts basically said  
16 the way we do this, if somebody's blood pressure at  
17 home is 80 or lower, then we start to cut back the  
18 medication, and actually use APBM to monitor their  
19 response to reducing medication, and to reduce  
20 medication only to the point where blood pressure  
21 below, diastolic below 80 is sustained, which seemed  
22 to me a very prudent approach and one that would  
23 minimize any collateral damage from a more widespread  
24 use of this technology because it was now being paid  
25 for.

00157

1 My personal take is that it's up to HCFA  
2 to decide what they want to do with this advice, but  
3 we voted to support this motion, and it has that

4 condition in it, and let HCFA decide what to do.

5 DR. GARBER: I'm still not sure that I  
6 understand the answer to Leslie's questions. Ron,  
7 you're saying you expect guidelines to be developed  
8 soon, but until they are developed, does that mean  
9 that you're recommending HCFA cover in the interim or  
10 not?

11 DR. DAVIS: I would say no. Again, this  
12 is just my own thought process. I envision that if  
13 HCFA agreed with this approach, they might make a  
14 public announcement that we would like to offer  
15 coverage of this device if it's used in appropriate  
16 circumstances, and we would feel much more  
17 comfortable moving forward if we had guidelines in  
18 place that could be used by physicians who treat  
19 patients with white coat hypertension. And that if  
20 the coverage was somehow tied to the development of  
21 the guidelines, then my guess is the guidelines would  
22 be developed and approved by various professional  
23 organizations fairly quickly.

24 As I mentioned earlier, the experts have

25 their own guidelines that they follow in their own  
00158

1 office which seem to make sense to me, so I would  
2 think that it wouldn't be a huge leap to bring  
3 together others and develop consensus based  
4 guidelines.

5 DR. SOX: Bob and then Barbara.

6 DR. MURRAY: Question, Ron. Your  
7 statement just now and previous statements earlier a  
8 few minutes ago seemed to indicate a broader scope  
9 that the precise language of the motion and the  
10 approval. In the written approval, the panel  
11 supports ABPM for diagnosis, not diagnosis and  
12 treatment, but just diagnosis of white coat  
13 hypertension, dot, dot, dot. If guidelines are  
14 developed for selecting patients for APBM and  
15 managing, so I mean, there is confusion in there, and  
16 what I heard Hal say is that he supported the use of  
17 ambulatory monitoring for the management, for the  
18 treatment of patients.

19 So, was the intent that HCFA, or the  
20 recommendation, was the recommendation that HCFA

21 approve coverage for this broad range to include  
22 diagnosis and management of these patients? But my  
23 bottom line is the same as Hal's; I think the  
24 committee did a good job and we're not here to apply  
25 our judgment, but just a question.

00159

1 DR. SOX: Well, before we go on and hear  
2 from Barbara, I would like to try to wrap this  
3 discussion up fairly quickly, unless there is  
4 somebody who really disagrees strongly with Alan, Bob  
5 and myself, that we followed the process and that we  
6 ought to ratify this, and then we can hear from the  
7 public and then we can have our wrap-up discussion  
8 and vote. I want to make sure that we leave enough  
9 time for discussion of the interim guidelines and  
10 that's why I'm pressing just a little bit. Barbara?

11 DR. DAVIS: Could I just -- I'm sorry,  
12 Barbara, to interrupt. Can I just answer Bob's  
13 question?

14 DR. SOX: Please.

15 DR. DAVIS: My impression is that the

16 panel was focused on diagnosis, use of the ambulatory  
17 blood pressure monitoring for diagnosis of white coat  
18 hypertension, as opposed to management as Hal was  
19 getting into.

20 DR. MCNEIL: This could be the most  
21 trivial comment on record, but if to get to Leslie's  
22 question, you took out the comma between hypertension  
23 and if in that second to last sentence, there would  
24 be no ambiguity about what you meant.

25 DR. SOX: Uh-huh.

00160

1 DR. MCNEIL: That's my editorial, because  
2 that would really mean that you approved it if and  
3 only if.

4 DR. DAVIS: I personally don't think it  
5 makes a difference, but I would be happy for the  
6 comma to be removed.

7 DR. SOX: Id would certainly reduce  
8 ambiguity and I think make it a little bit more clear  
9 what I think the panel had in mind.

10 DR. GARBBER: How about changing the if to  
11 a when?

12 DR. SOX: Well, it's within the framework  
13 of this committee looking at this from a distance,  
14 greater distance than the panel, to make such a  
15 recommendation as a formal motion and we can vote on  
16 it, but we're not to that point at this point, we are  
17 still in discussion mode.

18 Well, I'm going to open the meeting now  
19 for public comment and call upon Grant Bagley to come  
20 forward, please identify yourself for the rest of us,  
21 Grant, and we're looking forward to hearing from you,  
22 and feel free to use this if you wish.

23 DR. BAGLEY: Grant Bagley. I'm with the  
24 law firm of Arnold & Porter in Washington, D.C., and  
25 I assisted Space Labs in bringing this request

00161

1 forward. And I am not sure I can add very much about  
2 how the panel discussion went, because it has been  
3 reported fairly accurately. I would only say that it  
4 really was two different panels going on at the same  
5 time. I think Dr. Sox was doing a tutorial on how to  
6 evaluate a diagnostic modality, which was quite

7 appropriate, and we did it in the framework of  
8 ambulatory blood pressure monitoring, which I think  
9 was also appropriate, because it is a technology  
10 which has come a long ways over the span of the last  
11 20 years since HCFA really last visited it, and it  
12 was one that does have a large volume of research and  
13 evidence out there, much of which is not quite  
14 focused the way it should be based on the way we are  
15 looking at evidence based medicine.

16               Space Labs brought this request forward,  
17 and Space Labs is by no means the only company -- you  
18 might wonder why they have a lofty name like that by  
19 the way, and it really came from that program; Space  
20 Labs was an outgrowth of the NASA efforts to develop  
21 instrumentation during the early astronaut program,  
22 and Space Labs makes ambulatory blood pressure  
23 monitors among other kinds of physiologic monitoring  
24 equipment.

25               18 years ago HCFA wrote a policy saying

00162

1 ambulatory blood pressure monitoring is not covered,  
2 it's not covered because the equipment is not

3 standardized and we don't know what it means, and  
4 there is no evidence that it performs any utility  
5 function in deciding how to manage patients with  
6 hypertension. That was 18 years ago.

7               Now in submitting that request, there was  
8 a large volume of evidence submitted which HCFA did  
9 not send on to the panel, which dealt with the issue  
10 of standardization. There are voluntary  
11 standardizations that have been adopted by the  
12 British Hypertension Society among others, which deal  
13 with ambulatory blood pressure monitoring as opposed  
14 to home monitors, which also have standards. So  
15 there are well accepted voluntary standards within  
16 the industry of ambulatory blood pressure monitoring.

17               It's interesting in that the standards are  
18 so well accepted that the drugs that you're using for  
19 hypertension, the ones we're talking about not  
20 knowing how to make a decision on based on ambulatory  
21 blood pressure monitoring in fact have been tested  
22 with ambulatory blood pressure monitoring, which is  
23 the method which FDA now requires antihypertensives

24 use at some point in their studies for approval. So  
25 ambulatory blood pressure monitoring is the gold

00163

1 standard, at least by which antihypertensives are  
2 measured by the FDA.

3           So I think the standardization and the  
4 accuracy of the methodology is, was at least  
5 convincing to HCFA, and the more pressing question,  
6 what is the utility of this test, was presented to  
7 the panel and underwent the analytic framework that  
8 we went through.

9           The panel did look at the evidence very  
10 critically, but I think as Dr. Sox mentioned, I think  
11 what was persuasive to the panel is that there were  
12 clinicians who have a lot of experience in this and  
13 talked about how they personally use this technology.

14           There were also, as Sandy Marks mentioned,  
15 some patients. There were Medicare beneficiaries.  
16 There was a patient that said, I was thought to have  
17 hypertension in the office, it was not sustained, it  
18 was white coat hypertension, I wasn't treated, that  
19 was confirmed a few years later, and now as of last

20 week I am now hypertensive, but I wasn't treated for  
21 the last four or five years, and I avoided that  
22 treatment, I avoided that cost, and it was a positive  
23 decision for that Medicare beneficiary.

24 And what was perhaps even more telling is  
25 that the Blue Cross/Blue Shield TEC which Dr. Garber

00164

1 participated in evaluating, which was done in 1999,  
2 and was updated at HCFA's request in 2001 to develop  
3 an evidence report for this panel, was presented and  
4 looked very critically at the evidence, and said yes,  
5 the evidence making that final link in how do we use  
6 this and what is the link in treatment, do we have  
7 final evidence, when Frank Lefevre presented that he  
8 said no, we do not have evidence to show that white  
9 coat hypertension has the same risk if untreated as  
10 normal tension, that specific question.

11 But it was very telling and it was very  
12 persuasive to me that during the public comment  
13 period, Frank Lefevre on his own initiative got up to  
14 the microphone and said, I want to say that as a

15 part-time practicing physician, I used to order  
16 ambulatory blood pressure monitoring even though I  
17 have done both these technology assessments. After  
18 having done the update in 2001, I order it more than  
19 I used to, and I admit that the evidence is not all  
20 there, but I am taking care of patients.

21 I think that was persuasive that there is  
22 a place, it's just that we need to define the place.  
23 As I interpreted the panel from the audience, and far  
24 be it for me to tell the panel what they meant or  
25 said, but as I interpreted it the panel said we

00165

1 believe from this clinical information that there is  
2 a role for ambulatory blood pressure monitoring in  
3 white coat hypertensive patients, whatever that  
4 definition is, and we think the standards need to be  
5 developed to control the use in that population, I  
6 interpreted that to mean that in HCFA, in order to  
7 implement that coverage for white coat hypertension,  
8 would need to develop coverage criteria that would  
9 then guide them to prevent overutilization.

10 And I also listened to the same experts,

11 have spoken with them since, and talked at great  
12 length and asked them the same question the panel  
13 did, and have gotten vague answers also. So how do  
14 you know, and of course most clinicians will just say  
15 well, I just know. But in parsing it out and  
16 thinking about this, I said what criteria would be  
17 reasonable and how does HCFA get its arm around a  
18 problem like this, because standards have been  
19 developed.

20               You know, the National Heart, Lung and  
21 Blood Institute has had six national panels on  
22 hypertension, and the sixth panel did recommend that  
23 ambulatory blood pressure monitoring had limited use  
24 within certain concluding for white coat  
25 hypertension. The American College of Cardiology has

00166

1 developed recommendations which they presented to the  
2 panel, but in terms of how HCFA could deal with this,  
3 and the advice of the experts and with the panel, you  
4 know, you heard from Dr. Davis. A patient with  
5 in-office elevated readings, which clearly tells us

6 we should look to that patient as a hypertensive who  
7 should be treated, and that same patient in whom you  
8 may have recommended, or on their own have taken home  
9 readings or had readings from an office nurse, had  
10 readings in a pharmacy, whatever, have said I have  
11 normal readings out of the office.

12                   And there is some research which shows  
13 that home monitoring, home blood pressures and  
14 layperson blood pressures are not particularly  
15 accurate, but they're indicative, so a patient with  
16 in-office blood pressures, perhaps two, perhaps  
17 three, on two or more occasions each visit, with  
18 reported out of office normal blood pressures, would  
19 be an appropriate patient to have ambulatory blood  
20 pressure monitoring, but I would submit perhaps only  
21 if another criteria is added, and that criteria being  
22 that the physician at least believe that that  
23 information is useful to guide therapy.

24                   Now Dr. Garber might not order that test,  
25 and might believe that every in-office hypertensive

00167

1 measurement should be treated, although most

2 hypertensive guidelines would say be sure the patient  
3 is really hypertensive. But if the physician  
4 believes that it's going to guide therapy, and says I  
5 need to know, and I have a reported hypertensive in  
6 the office and out of the office, then I need to  
7 confirm that.

8           And again, the research that was presented  
9 to the panel, and all of the experts made it clear,  
10 this was not going to become the cell phone of the  
11 future, this was something we were going to see on  
12 everyone's arm going down the street. This is  
13 something that is done very seldom and it is done  
14 perhaps only once in a hypertensive's treatment  
15 history, and certainly not very often in a  
16 hypertensive's history. So I think the experts may  
17 it clear that this was for some patients in some  
18 circumstances and that's it, and that perhaps the  
19 proper criteria ought to be suspected white coat  
20 hypertension by elevated and normal reading by  
21 whatever criteria we use, and additionally, that the  
22 physician plans to use that for a treatment decision.

23 HCFA uses such criteria for a number of  
24 things. Magnetic resonance angiography of the head  
25 and neck definitely has a utility for evaluating

00168

1 surgical patients, but has very little utility in  
2 treatment otherwise, and HCFA covers it only for  
3 patients who are surgical candidates and plan to use  
4 the results in the decision for surgery.

5 The recent decision on PET scans for  
6 staging cancer have a similar prohibition, it's  
7 covered only for evaluating the stage of cancer when  
8 it has treatment implications. That's up to the  
9 treating physician to decide, and that's maybe as it  
10 should be in the absence of evidence.

11 Maybe when we get more evidence we can  
12 tell the treating physician how they should also  
13 treat, but we aren't there yet with this therapy.  
14 But I would just like to finish by saying it was a  
15 panel which I would, in your leisure moments I would  
16 suggest you go back and look with great depth at the  
17 transcript, because it was a tutorial on diagnostic  
18 tests, and I think it was the wave of the future on

19 how they should be looked at, and I want to  
20 congratulate Dr. Sox for the job he has done.

21 DR. SOX: Questions for Dr. Bagley?

22 DR. HOLOHAN: Grant, did you, did I  
23 interpret correctly your statement that the FDA now  
24 requires for any NDA on antihypertensive that  
25 ambulatory blood pressure monitoring readings be

00169

1 required on the clinical side?

2 DR. BAGLEY: FDA is using ambulatory blood  
3 pressure monitoring at some point in NDAs for new  
4 hypertensive drugs. In fact, FDA is involved in the  
5 collection and aggregation of that data in evaluation  
6 of new hypertensive drugs with accreta, with outside  
7 parties that are evaluating that data. But yes, in  
8 fact there is a meeting going on next month in which  
9 Dr. Lapicki is going to report on FDA experience in  
10 using ambulatory blood pressure monitoring in  
11 evaluating the hypertensives.

12 DR. HOLOHAN: My question really was, is  
13 it mandatory?

14 DR. BAGLEY: It's my understanding it is  
15 mandatory that they validate the antihypertensive  
16 effect at some point in their protocols, and at which  
17 level of the studies it's required, I do not know.

18 DR. SOX: Thank you very much, Grant.  
19 Anybody else from the audience wish to come forward  
20 and speak? Please identify yourself and your  
21 affiliation.

22 MS. MARX: Sandy Marx from the American  
23 Medical Association. First I wanted to just comment  
24 briefly on the discussion you had just prior to the  
25 open public comments about kind of what comes first,

00170

1 do we provide the coverage or do we get guidelines  
2 developed. And I think HCFA has at least several  
3 times if not more over the last few years had the  
4 experience of working with physician organizations in  
5 developing the conditions of coverage that they then  
6 put in their coverage decisions or coverage rules.  
7 This was in the diabetes self management final rule  
8 which recently came out, the bone density measurement  
9 rule, and the coverage decisions that you worked on

10 related to urinary incontinence treatments.

11           So it can be an interactive process, you  
12 don't have to say we're going to go out and get  
13 guidelines and then we're going to come up with a  
14 coverage decision. It's really part of HCFA's  
15 development of the coverage decision to seek input  
16 from the practicing community on how these things are  
17 used and under what circumstances the particular  
18 technology should be covered or should not be  
19 covered.

20           On other point I wanted to make on the  
21 issue of the Executive Committee providing advice to  
22 HCFA about research priorities or things for Medicare  
23 patients where research funding should be sought or  
24 even where Medicare should directly fund clinical  
25 trials, the AMA thinks that is highly appropriate.

00171

1 Dr. Janelle from our Council on Scientific Affairs  
2 testified to that point before an AHRQ hearing last  
3 fall. So we're encouraged that you're thinking about  
4 that, and we hope that HCFA will consider your advice

5 on research priorities. Certainly when there are  
6 conditions that are very important problems for the  
7 Medicare population like urinary incontinence, like  
8 hypertension, where you find that more research or  
9 better evidence is needed, then we think it would be  
10 a very good role for the Executive Committee to play  
11 in advising HCFA about what research questions need  
12 to be answered.

13 DR. SOX: Thank you very much. Anybody  
14 else wish to speak?

15 In that case, it's time to entertain a  
16 motion. I think the comment was made that a slight  
17 change in fact would be appropriate, and if there is  
18 a motion that was specific on that matter, we could  
19 take it up.

20 MS. CONRAD: Let me make a statement for  
21 the record first please. At today's committee  
22 meeting, voting members present are: Thomas Holohan,  
23 Barbara McNeil, Leslie Francis, Robert Murray, Alan  
24 Garber, Frank Papatheofanis, Ronald Davis, and Joe  
25 Johnson. A quorum is present, no one has been

1   recused because of conflicts of interest.   Now,  
2   Dr. Sox.

3                   DR. SOX:   Alan?

4                   DR. GARBER:   Before anyone makes a motion,  
5   could I just ask another wordsmithing question of you  
6   and Ron?   And it has to do with the if comma, if no  
7   comma, on the guidelines.   Is there any qualification  
8   that the panel had in mind on the guidelines, I mean,  
9   any old guidelines will do, or is there any sort of  
10  guidance, do you want to leave it completely open?

11                  DR. SOX:   I think if there were some  
12  language that encouraged formation of evidence based  
13  guidelines, which in this case may actually be  
14  unrealistic, but some kind of process or else some  
15  body doing it that really carried a lot of weight,  
16  that might be helpful.

17                  DR. DAVIS:   Well, I think that putting  
18  evidence based in there might change the whole thrust  
19  of this thing.   We could come up with modifiers like  
20  thoughtful or appropriate, or whatever, but I think  
21  we would be best to just leave this in HCFA's hands.

22 And when I spoke earlier, I was referring to  
23 guidelines that might come from the medical  
24 profession but as Sandy from the AMA was mentioning,  
25 this can be done as a collaborative thing.

00173

1 Dr. Bagley was mentioning that HCFA might develop  
2 some guidelines internally, so whether the guidelines  
3 will be developed internally, externally or  
4 collaboratively, I think it will get done right, and  
5 I personally don't think we need to clarify this any  
6 further than the way it appears now.

7 DR. SOX: Maybe I could ask Sean to  
8 comment about whether language, more specific  
9 language would be helpful with respect to the issue  
10 of who develops the guidelines or what sort of  
11 standards the guidelines might have to meet, or is  
12 that something that's sort of, you could fend for  
13 yourself on?

14 DR. TUNIS: I think that producing the  
15 concept of some sort of agreed upon guidelines  
16 without stating the source or the nature of the  
17 evidence I think is an adequate platform for us to

18 move forward at HCFA.

19 DR. GARBER: Does that mean you want a  
20 modifier or you don't want a modifier for the  
21 guidelines?

22 DR. TUNIS: I think we don't need a  
23 modifier.

24 DR. SOX: Alan, do you want to make a  
25 motion?

00174

1 DR. GARBER: Well, I move that we ratify  
2 the recommendations of the panel with the word  
3 substitution, if I can find that place where it had  
4 the comma, to eliminate the comma and if, and  
5 substitute the word when.

6 DR. PAPATHEOFANIS: Second.

7 DR. SOX: Any further discussion of the  
8 motion? In that case, it's time to take a vote.  
9 Connie, do you want to administer the vote?

10 MS. CONRAD: Let me repeat the motion  
11 first. You recommend that you ratify the  
12 recommendations substituting the word when for if,

13 and removing the comma after if.

14 DR. HOLOHAN: Before if.

15 MS. CONRAD: Before if, okay. Those in  
16 favor.

17 DR. DAVIS: We're just voting on the  
18 amendment at this point; is that right?

19 DR. GARBER: No, we are voting on the  
20 amended recommendation.

21 DR. DAVIS: Then maybe we should just get  
22 a quick indication of whether people agree with the  
23 amendment, just for the sake of parliamentary  
24 procedure, and I think we could just do that with a  
25 quick show of hands.

00175

1 MS. CONRAD: Okay.

2 DR. HOLOHAN: I thought he made a motion  
3 and it was seconded, so we're voting on the motion.

4 DR. GARBER: Yeah. If you don't like the  
5 amendment then you can vote it down and somebody can  
6 make a substitute motion.

7 DR. DAVIS: That's fine.

8 DR. GARBER: But I suggest at this point,

9 if there's something you don't like about the  
10 language.

11 DR. SOX: Or perhaps if anybody feels  
12 strongly that we're doing the wrong thing by this  
13 vital piece of wordsmithing, it would be good to try  
14 to persuade the rest of us that we shouldn't vote for  
15 this motion and if I don't hear from anybody, I  
16 assume that nobody wants to persuade us of the  
17 potential error that we might be making.

18 DR. TUNIS: Could I just then say, are we  
19 then to understand the intention of this wordsmithing  
20 is really to say that the Executive Committee  
21 supports the panel's recommendation for coverage but  
22 only at the point where we have undergone some  
23 process to develop treatment guidelines and a  
24 definition for suspected white coat hypertension?  
25 That's sort of your recommendation, and you're trying

00176

1 to make that stronger by removing the common and  
2 saying when.

3 DR. GARBER: Yeah, but I think it's

4 important to underscore one point. My intention in  
5 making that change in wording is not to try to get  
6 the panel to say something different, it's a response  
7 to the perceived ambiguity in the language that the  
8 panel used. We are trying to make it as clear as  
9 possible what the recommendation, what we interpret  
10 their recommendation as being. Again, I don't think  
11 we should try to overturn the decision of the panel,  
12 but solely trying to clarify the ambiguity.

13 DR. SOX: My personal belief is that the  
14 panel voted for this recommendation that has a slight  
15 ambiguity in it, but I believe the panel really  
16 believes that we ought to have guidelines in place  
17 for the use of this technology. Ron, how do you feel  
18 about that?

19 DR. DAVIS: I agree a hundred percent. I  
20 think this is fully consistent with the views of the  
21 panel and the panel didn't perceive any ambiguity  
22 when it adopted this language, but if others do, then  
23 let's clean it up, and that is fine.

24 DR. SOX: That's a good way of putting it.  
25 I think we're ready for a vote.

1 MS. CONRAD: Those in favor? Opposed?

2 Okay. It's unanimous.

3 DR. TUNIS: Just to close this out and to  
4 make sort of one last observation related to this  
5 particular recommendation by the panel, as you know,  
6 for a good long time, and there continues to be some  
7 discussion about the extent to which both HCFA and  
8 the coverage advisory committee use expert opinion  
9 versus empirical scientific published evidence in the  
10 context of making coverage recommendations and  
11 coverage decisions.

12 And we just want to highlight the fact  
13 that in this case, particularly guided by Dr. Sox's  
14 analytic framework that allowed the question to be  
15 broken down into discrete pieces, for some of those  
16 pieces there was good quality scientific evidence and  
17 for some of those pieces, really the panel to a large  
18 extent paid a great amount of attention to the expert  
19 opinion and judgments of the clinicians who came and  
20 discussed the issue. And so, I think it's just worth

21 pointing out that I think we have reached a point  
22 where explicitly both expert opinion and scientific  
23 evidence are being considered by the panel in making  
24 recommendations to HCFA, and HCFA is considering  
25 those same sources of information, and it's not that  
00178

1 one is substituting for the other but in a case like  
2 this, both sources of information are being used  
3 simultaneously, and that's consistent with the  
4 directives that have been written into the Benefits  
5 Improvement and Protection Act in terms of what they  
6 have asked for Medicare to consider in terms of  
7 information going into coverage policy. So I just  
8 wanted to underline that as representative and  
9 specific.

10 DR. SOX: And I have a process point, and  
11 just want to beat the drum again for some sort of  
12 explicit analytic framework for the discussion as a  
13 way to focus the search for the evidence, as a way to  
14 focus the discussion of the evidence, as a way to  
15 backtrack and try to figure out how a decision got  
16 made, and as a framework for making the report of the

17 chair to the Executive Committee. And I am certainly  
18 going to push when we do our next revision of interim  
19 guidelines for some sort of expectation that the EPCs  
20 will provide us with an explicit analytic framework,  
21 which I believe will be the intent for the PET  
22 scanning and Alzheimer's disease evaluation. It's  
23 very valuable at every step in the process, and I  
24 think the more that we can take advance of the work  
25 that we're about ready to discuss, the framework for

00179

1 evaluating evidence, and really hold our hands to the  
2 fire to use them formally, the less we will run the  
3 risk of the sort of chaos as we move from problem to  
4 problem, and the more accountable we will be for our  
5 decisions in the public record.

6           And with that, unless there is some  
7 comment, we will move on to the last part of the day,  
8 which is to talk about the interim guidelines. I  
9 just remind the audience that the committee discussed  
10 these revised guidelines at the time of its meeting  
11 in February, we spent the better part of an hour on

12 one particular point, which I will get to in a  
13 minute, but otherwise approved the guidelines in the  
14 way that they have been revised by the methods  
15 subgroup based on external comments as well as  
16 comments from members of the Executive Committee that  
17 have accumulated since the initial publication of the  
18 guidelines.

19               So there has been a fairly extensive  
20 process that went into these modifications. The only  
21 changes that have occurred since the last meeting  
22 were you know, literally a few words moved around and  
23 a little bit of reorganization, so this is really an  
24 opportunity for the public to have input and if we  
25 hear something compelling, we could change these

00180

1 guidelines on the spot, but otherwise, I don't  
2 believe that a vote will be called for at the end of  
3 the discussion period.

4               I thought I would briefly go through what  
5 I saw as the high points in the change of the interim  
6 guidelines published about a year and a half ago, and  
7 this is mainly for the benefit of the audience.

8                   First, we inserted a section on the  
9   evaluation of diagnostic tests and you heard about  
10   that today in the context of the discussion of the  
11   PET scanning for Alzheimer's disease evaluation. We  
12   found that this approach was quite valuable for us in  
13   shaping the discussion around PET scanning and helped  
14   us to see the strength of the evidence at various  
15   points in the chain of logic that linked the doing of  
16   the tests to health care outcomes.

17                  Secondly, we made some process changes to  
18   deal with the status of unpublished studies which  
19   were used by the EPCs to evaluate the technology.  
20   The issue was if the study had not been published in  
21   the medical literature, what would be its, would we  
22   then make it available to the public at the time we  
23   published the evidence report, and we felt that the  
24   overriding principle should be that the public should  
25   have access to all of the information that went into

00181

1   the development of the evidence report.

2                  In the case of published studies, the

3 public can go to the published literature.  
4 In the case of unpublished studies it reviews in the  
5 development of the report, the public should have  
6 some other recourse, and so we felt that it was  
7 essential to make unpublished studies available to  
8 the public at the time that the evidence report was  
9 put on the web. And we had about an hour's  
10 discussion about that and eventually came to a pretty  
11 strong feeling that this is crucial, so that's  
12 another small but important change.

13           In general, I would say the tenor of the  
14 outside comments overwhelmingly was that our basic  
15 principle that we require some form of controls in  
16 order to evaluate evidence, that nobody really took  
17 issue with that statement of principle. The form of  
18 controls and the study design can range anywhere from  
19 randomized clinical trials to studies with much less  
20 satisfactory controls with much more potential for  
21 differences between the control and the intervention  
22 group that are not due to the intervention, but to  
23 differences in the selection of the two cohorts for  
24 study. We simply hold the panels accountable when

25 they use less suitable controls to make their  
00182

1 reasoning clear as to why they thought those controls  
2 were reasonable.

3           And finally, we introduced a section, a  
4 fairly substantial section of what to do if the  
5 evidence is inadequate to try to guide panels into  
6 those circumstances, and parenthetically one of the  
7 things the panels could do when the evidence is  
8 inadequate is to rely on practice guidelines, which  
9 is in fact a way what we're edging toward in the  
10 discussion just completed.

11           So that's a summary of the major changes  
12 in the guidelines. And it's now an opportunity I  
13 guess for anybody in the public to stand up and give  
14 us some feedback. Yes, sir? Would you please  
15 identify yourself and your affiliation and so forth?

16           MR. ROBB: I am Greg Robb, I'm a  
17 consultant representing ACTA, the Advanced Clinical  
18 Technology Association. I would like to echo some of  
19 the points you just stated, commend HCFA for opening

20 the process and the resources, significant resources  
21 to do these sorts of meetings, and through all the  
22 transparency initiatives in the coverage process. I  
23 want to reference the guidelines that you have here  
24 and commend you for trying to make information  
25 available, using the Internet, et cetera, but in

00183

1 commending you I want to say it does get complex if  
2 you do follow the process.

3           Randel Richner this morning talked about  
4 her level of confusion on just what the steps were,  
5 where you have public input, who does what, when,  
6 what does the panel do, what does the Executive  
7 Committee do. You're working at it, keep it up, it  
8 is very hard to follow. You're having access to  
9 these briefing documents, we don't, so as you open  
10 things up and provide opportunity for public  
11 participation, it's very important to tell us just  
12 what you're seeing and how you want us to  
13 participate.

14           In opening things up, you are challenged  
15 with timing. The industry if it had one goal, is to

16 get a clear predictable timely process. It's opened  
17 up at HCFA, there's a level of predictability.  
18 There's still of a level of unpredictability with  
19 this open forum, and what I think Randel was pointing  
20 to was how does one add up the days? How can you  
21 squeeze all these process steps into a limited period  
22 of time, and still get a timely decision. It's a  
23 challenge. At every time that you have a decision  
24 point you do need the input from the public, so we  
25 will work with you and commend you for the effort so

00184

1 far.

2           On this process side as well, there is a  
3 level of confusion in the industry and in the  
4 decision making process on coverage, and this is  
5 probably directed more to you, Sean, than the  
6 Executive Committee here. It's when does the  
7 Executive Committee need to be brought in, when does  
8 MCAC need to be brought in, versus when do you need  
9 at HCFA technology assessment by itself.

10           I heard you reference a quick relationship

11 with AHRQ to pull in that information. A lot of  
12 interest for an industry on just how that will work  
13 and what the real function here on MCAC is on that.  
14 We're reminded of Jeff Kahn, who advertised MCAC  
15 quite a bit and sold it a few years ago. A slide he  
16 always used in the role of MCAC was consensus. It  
17 was on all the slides he handed out when he did his  
18 public relations on that issue and it was leading  
19 toward this evidentiary thing of getting consensus,  
20 getting practice guidelines, getting involvement from  
21 the public into the process, because the evidence was  
22 confusing, weak, not there.

23               So from process to evidence, a lot of  
24 interaction, and all I can say is we like where  
25 you're going. Dr. Sox, you did a great job in

00185

1 showing just what you do in a very difficult area.  
2 Thanks.

3               DR. SOX: Thank you very much for your  
4 helpful comments and for the bouquets. Other  
5 comments?

6               Would the committee like to raise any

7 issues that might possibly either now or later lead  
8 to changes? Yes, Ron.

9 DR. DAVIS: Hal, Leslie just brought to my  
10 attention that I think we neglected to act on those  
11 other recommendations.

12 DR. SOX: We will get to that as soon as  
13 we're past this, thank you. Barbara.

14 DR. MCNEIL: Hal, I really like this, I  
15 hope now final report. The question I have, would it  
16 help people who pick this up on the web to have five  
17 or ten references that they might go to if they  
18 wanted additional information. For people who aren't  
19 in the field, a handful of them might be useful.

20 DR. SOX: Good suggestion.

21 DR. TUNIS: You mean references in the  
22 sort of evidence based kind of reference, evidence  
23 based medicine, that sort of methodologic reference?

24 DR. MCNEIL: Yeah, not reference in the  
25 text, not saying see reference two, but just at the

00186

1 end, here are five general references that talk about

2 evidence based medicine or the evaluation of clinical  
3 trials, or the evaluation of diagnostic tests,  
4 sources of bias or whatever.

5 DR. TUNIS: One thing to mention in that  
6 regard is that we are very actively working  
7 internally now in actually developing guidance  
8 documents that we've been advertising for quite a  
9 long time that are under development, guidance  
10 documents which will have more detail and will be a  
11 HCFA document as opposed to an MCAC document, to talk  
12 about how we go about appraising evidence from  
13 individual studies, groups of studies, in both areas  
14 of diagnosis and in therapeutics, and I think that  
15 will be a much more heavily referenced document as  
16 well, but the time frame for those is to, we're sort  
17 of approaching having good working drafts and we're  
18 actually hoping to have the MCAC consider actually  
19 working with us to refine those, but ultimately those  
20 will be posted on the web and will provide some of  
21 that information.

22 DR. MCNEIL: That will be great.

23 DR. SOX: Any other comments?

24                   In that case, I have to go back up to the  
25   transparency projector and we'll work our way through  
00187

1   the other two recommendations about the use of the  
2   ambulatory blood pressure monitoring.

3                   The second question that the panel  
4   addressed relatively briefly is the use of ambulatory  
5   blood pressure monitoring in patients who are under  
6   treatment for hypertension and whose blood pressure  
7   just won't go down to the normal range as measured in  
8   the office, so this is an issue of management, not an  
9   issue of diagnosis, of white coat hypertension. And  
10  we performed an analytic framework for this problem,  
11  unfortunately in which we first asked, does  
12  ambulatory blood pressure monitoring identify a group  
13  of patients on treatment with high blood pressure in  
14  the office but good blood pressure at home.

15                  And we found in fact one study that  
16  addressed that in which patients with treatment  
17  resistant hypertension underwent ambulatory blood  
18  pressure monitoring and were then divided into three

19 equal size groups based on their home blood pressure.  
20 And the study showed that patients who had relatively  
21 good blood pressures at home had better stroke rates  
22 and other health care outcome measures than patients  
23 whose blood pressures remained high at home. So it's  
24 pretty clear that ambulatory blood pressure  
25 monitoring can identify a group of patients who are

00188

1 at relatively low risk because their blood pressures  
2 are well controlled at home, so this element is  
3 certainly a fact.

4           Next question as to whether physicians  
5 maintain treatment in patients with high office blood  
6 pressure but normal blood pressures at home, and we  
7 didn't have any evidence on this score, but we took  
8 sort of a best case scenario, which is that  
9 physicians would reduce blood pressure medication for  
10 patients or would not continue to add blood pressure  
11 medications for patients whose blood pressure was  
12 well controlled at home but not in the office.

13           And finally, the crucial and unanswered  
14 question is what are the health care outcomes in

15 patients who are managed, whose blood pressure is  
16 managed based on their home blood pressure as opposed  
17 to their office blood pressure. And on this  
18 particular link, we don't have any evidence about  
19 long-term health care outcomes in patients with  
20 treatment resistant hypertension who are managed  
21 either according to their office blood pressure or  
22 according to their home blood pressure, and the  
23 question felt that this was a crucial link and that  
24 without that link, we were not in a position to  
25 encourage HCFA in their coverage decision, and so we

00189

1 voted unanimously to approve the following motion:

2           The evidence is inadequate to determine  
3 the effect of using ambulatory blood pressure  
4 monitoring in patients with treatment resistant  
5 hypertension.

6           The last problem that we took up was the  
7 use of ambulatory blood pressure monitoring to try to  
8 make a diagnosis in patients who develop symptoms  
9 that sound like they might be due to low blood

10 pressure while on treatment for hypertension. The  
11 idea here is that if the patient's blood pressure  
12 went down at the time they had these symptoms, that  
13 one could then manage the patient in a more  
14 appropriate way, because you'd then have a diagnosis  
15 and perhaps could switch to another blood pressure  
16 medication. HCFA didn't provide us with any  
17 information pertinent to answering this question and  
18 so again, the panel voted unanimously to approve the  
19 following motion:

20           The evidence is inadequate to determine  
21 the effect of using ambulatory blood pressure  
22 monitoring in patients with symptoms of low blood  
23 pressure on medication.

24           So basically, for these last two, we said  
25 the evidence is inadequate to evaluate the problem.

00190

1 So, what I will be asking for is a motion to confirm  
2 the judgment that the committee made.

3           DR. FRANCIS: Can I just ask a question?  
4 Why didn't HCFA give you information? Was it just  
5 that there is no data or that it was imprecise,

6 because a negative judgment, the evidence is  
7 inadequate, is different from a judgment that nobody  
8 gave us any evidence.

9 SPEAKER: There was no data available to  
10 submit.

11 DR. SOX: Thank you. Bob.

12 DR. MURRAY: Did any of the experts or  
13 Dr. Lefevre say that they did use ambulatory blood  
14 pressure monitoring in these categories?

15 DR. SOX: I don't recall that they did. I  
16 think they --

17 DR. MURRAY: So the evidence and the  
18 experts were all consistent?

19 DR. SOX: It sounded like it was a  
20 question that didn't really come up in practice, not  
21 very often, and certainly doesn't come up in my  
22 practice. Well, could we, if there's no further  
23 discussion, could we have a motion to approve these  
24 two recommendations?

25 DR. GARBER: I move to ratify.

1 DR. MURRAY: Second.

2 DR. SOX: Connie.

3 MS. CONRAD: The motion is to ratify the  
4 findings of the device panel deliberation of  
5 ambulatory blood pressure monitoring. Did I miss  
6 something? Okay. Those in favor? It's unanimous.

7 (Dr. Holohan was absent for this vote.)

8 DR. SOX: Well, at this point I guess I  
9 will ask if there is any other business to come  
10 testimony before the committee.

11 Our last item is the future role of the  
12 Executive Committee. Dr. Tunis, do you want to lead  
13 that discussion?

14 DR. TUNIS: What I wanted to was run by a  
15 list of about six or seven sorts of advice assistance  
16 and activity that we would propose as possibilities  
17 for the Executive Committee to continue to work with  
18 HCFA once the function of formally ratifying the  
19 panel recommendations is completed. So what I would  
20 do is just run through all of them and then maybe we  
21 could have sort of a general discussion about which  
22 ones you think are good ideas, bad idea, or if you

23 have other ideas of your own. These were sort of  
24 generated from internal discussion within HCFA.

25 One thing I also did want to mention, kind

00192

1 of in relation to the future of EC is, I'm not sure,  
2 Dr. Sox, if we talked about some of the changes  
3 related to panel, given your new position at the  
4 Annals, but I just wanted to mention because Dr. Sox  
5 has been elevated to the lofty editorship of the  
6 Annals of Internal Medicine, I'm sure in no small  
7 part due to his role in the MCAC, plus a few  
8 professional accomplishments besides that, in any  
9 case, not only have we had to congratulate him, but  
10 we've had to figure out how to keep him on.

11 So, in order to do that and what the  
12 arrangement will now be is that he will be resigning  
13 as the panel chairperson for the medical devices  
14 panel and will not participate on any panel, but will  
15 continue on as the chairperson for the Executive  
16 Committee. And in that role he will continue not to  
17 have a voting role on any particular given motion.

18                   For the medical devices panel, Dr. Davis  
19 has graciously agreed to be promoted to the  
20 chairperson of that panel, and Dr. Wade Aubry will be  
21 the vice chair for the medical devices panel, so  
22 there are just a couple changes to mention.

23                   Okay. So basically here's the set of  
24 functions. The first one is, and very similar to  
25 what we did today, but basically we are still

00193

1 planning to have the panels when they consider  
2 particular technology to summarize their  
3 recommendations to HCFA very much in the form that  
4 they currently do. Those summaries, we propose,  
5 would still be forwarded to the Executive Committee  
6 for discussion but not formal ratification. And the  
7 purpose behind that would be that we would see the  
8 role of the Executive Committee as at least trying to  
9 insure that the panels are functioning according to  
10 the guidelines for evaluating effectiveness, so  
11 essentially would be a quality control function as  
12 opposed to a formal ratification function. And  
13 again, I think to some degree, that was the way the

14 Executive Committee operated today in relation to the  
15 ambulatory blood pressure monitoring panel, probably  
16 in deference to the fact that the chair of the  
17 Executive Committee was also the chair of the panel.  
18 But at any rate, that would be one proposed function.

19           A second function would be to continue to  
20 work on any needed updates or improvements to the  
21 guidelines for evaluating clinical effectiveness,  
22 including additional subcomponents. For example,  
23 last November, the methods working group developed  
24 guidelines for evaluating diagnostic tests and it may  
25 be that in the future there are categories of

00194

1 technology for which tailored guidelines would be  
2 necessary. I am imagining for example that genetic  
3 testing technologies may be coming forward to  
4 Medicare attention in the next few years for coverage  
5 policy, and it may very well be necessary to develop  
6 a framework for evaluating those sorts of things that  
7 would not necessarily be covered by the general  
8 guidelines, so continue basically to build on the

9 guidelines for evaluating effectiveness.

10           A third issue would be potentially to  
11 provide a forum here for discussing overarching  
12 technical issues that may arise in the context of one  
13 technology but have applications to a number of  
14 technologies. And here a good example I think is the  
15 issue of how we are struggling with how to deal with  
16 the gamma coincidence cameras versus the full ring  
17 PET scanners in terms of coverage policy, which  
18 raises a general issue of whether the Medicare  
19 program should be distinguishing within a category of  
20 FDA approved devices subcategories which would be  
21 eligible for coverage, as opposed to any FDA approved  
22 device within the category. So you can imagine for  
23 example, ambulatory blood pressure monitors might  
24 come in all ranges of accuracy and quality, and the  
25 minimum criteria for FDA approval might not in fact

00195

1 be the technical performance standard that would be  
2 necessary for clinical effectiveness from your  
3 perspective, and it seems that this body may be a  
4 forum to discuss that sort of overarching issue.

5 Dr. Brook, when he's here, always likes to  
6 raise complicated social issues related to coverage  
7 policy, and one of his favorites is the issue of  
8 technologies for which there are small but  
9 demonstrable benefits and extraordinarily large  
10 implications in terms of utilization, cost or other  
11 factors, and whether or not this committee would want  
12 to on occasion dive into that sort of complicated  
13 social, ethical, legal, allocation type issue. I'm  
14 not raising that to suggest that HCFA wants to get  
15 into considering costs in the context of coverage  
16 policy, but there ought to be at least a forum in  
17 which that sort of thing could be discussed.

18 DR. MCNEIL: I just wanted you to repeat  
19 it, Sean. So he's worried about high cost  
20 technologies that have a small number of potential  
21 beneficiaries?

22 DR. TUNIS: Or small but measurable  
23 benefits.

24 DR. SOX: Low benefits, high costs.

25 DR. TUNIS: Right. That's just an example

1 of a complicated social ethical issue that again, I'm  
2 just proposing these for your feedback and  
3 consideration.

4           Fifth, some sort of horizon scanning  
5 function for technology, potentially where we would  
6 present to you all a list of technologies that we're  
7 aware of that might be coming over the horizon,  
8 beginning to develop in stages of clinical research  
9 that we may be faced with soon, and getting some  
10 direction from you in terms of which ones we should  
11 be particularly ready to look for in terms of  
12 coverage, whether proactively considering early  
13 coverage for something that's promising, or at least  
14 being forewarned of things, so some sort of priority  
15 setting horizon scanning function.

16           Sixth issue, we talked about, Barbara, you  
17 raised identifying critical research priorities even  
18 in the context of technologies we are actively  
19 considering or ones that we should be.

20           And the last one that we have listed here  
21 was really what we just did earlier today, which was

22 helping to frame the questions for complicated  
23 questions such as are posed by PET for Alzheimer's  
24 disease, where we could once we've identified an  
25 issue, bring the issue here for discussion as we did

00197

1 today, identifying the questions in the analytic  
2 framework prior to even going forward with the TEC  
3 assessment or a panel discussion.

4 That's obviously not a complete list, it's  
5 a lot of stuff, and I just wanted to throw it open  
6 for your discussion.

7 DR. SOX: Well, why don't we discuss this,  
8 just work our way down the list and see where there  
9 are comments or concerns.

10 First, the issue of hearing the report of  
11 a panel not as part of the ratification process but  
12 simply to hear how they tackled the problem, what  
13 issues they got into that might have more general  
14 implication for the policies used by all panels, and  
15 perhaps creating some sense of accountability on the  
16 part of panels and panel chairs and co-chairs to

17 follow the guidelines we have established and to tell  
18 us when the guidelines aren't working so we can  
19 change them.

20                   Any comments about that one, one that will  
21 not delay the approval of a proposed technology, it  
22 shouldn't be a problem but it would nonetheless keep  
23 us essentially being a body to which the panels are  
24 accountable for how they operate. Ron.

25                   DR. DAVIS: I support that function for

00198

1 the Executive Committee. I just wanted to throw out  
2 the idea also that at some point, maybe a year down  
3 the road, we might want to write up a paper that  
4 describes the whole MCAC process in the first several  
5 years of its experience, and how our process has  
6 evolved over time and where we think it's going, so  
7 that we could share that with the outside world  
8 beyond the fairly small group of people that monitor  
9 what we're doing. There might even be a peer review  
10 journal out there that might be interested in  
11 publishing a piece on this.

12                   DR. SOX: Any other comments about the

13 first one? Frank.

14 DR. PAPATHEOFANIS: Just a quick comment.

15 Something to consider as I've started to spend more  
16 time with the product, if you will, of each of the  
17 panels, I'm just curious whether there's a way to  
18 produce those summary documents in a uniform style or  
19 uniform format, so that one can't say, oh yeah, this  
20 one was written by whomever. I don't know if there  
21 is any interest from HCFA to do something like that,  
22 but I think the various TEC programs do a good job,  
23 you never know who wrote it, who was the key author,  
24 because there is a uniformity of style.

25 DR. SOX: Are you thinking about the

00199

1 evidence reports or about the report of the panel's  
2 deliberations or both?

3 DR. PAPATHEOFANIS: Both. Maybe it's just  
4 too hard to do that.

5 DR. SOX: Well, I again will repeat what I  
6 said earlier, which is this analytic framework is a  
7 nice framework for making the report of the panel to

8 the Executive Committee, and I hope that other panel  
9 members will try it and like it, and I guess it's  
10 sort of a question out there for further discussion  
11 when we're not at the end of the day as to whether we  
12 should require getting a more uniform format.

13 DR. TUNIS: Hal, I think your panel report  
14 was the first one that we've had since the request  
15 was made by the EC to try to have more comprehensive  
16 summary of what the panels had reported, and it may  
17 be that Hal's write-up of this could serve as kind of  
18 a de facto template for the time being. These aren't  
19 HCFA products, and it seems as though the chairs at  
20 least so far have been responsible for writing these  
21 up.

22 DR. SOX: I personally believe that the  
23 panels ought to be accountable to the Executive  
24 Committee for the process and the line of reasoning  
25 that is followed, and is part of this accountability

00200

1 function that we discussed earlier. Leslie.

2 DR. FRANCIS: I don't want to sound like  
3 I'm lazy or don't like to carry stuff, but it seems

4 to me that if the function of the Executive Committee  
5 is to try to help think through what was uniform or  
6 what wasn't uniform, or what can we learn or what can  
7 other panels learn from the panel decision, I am  
8 going to want to look at different documents or  
9 different things, from what I looked at for this  
10 meeting. For this meeting, when I was thinking about  
11 ratification, I really read the panel's decision, and  
12 then I read all this stuff as though it were an  
13 administrative reference, and I don't think I would  
14 want to read it all or need it all, but what I'd want  
15 to know are what were the real issues in contention  
16 at the panel, which I really couldn't figure out from  
17 this set of documents. So anyway, I don't know that  
18 that's helpful or not, but I do think that we might  
19 need to think through a little bit what we get or how  
20 to prepare for the meetings without the ratification  
21 function.

22 DR. SOX: I do think it's important that  
23 there are disagreements in the panel, not to paper  
24 them over, but get them out there for a discussion

25 and learning by ourselves and anybody who is a  
00201

1 historian of this process.

2 MS. RICHNER: I have a question for Sean.  
3 Looking at, we have been into this now for two years,  
4 and looking at how the MCAC process is working and  
5 all this, I think what we are grappling with where  
6 are we in this evolutionary process and what do the  
7 panels do, what does the Executive Committee do, is  
8 there any way to look at how many decisions have been  
9 sent to which panels, and if it looks like it is  
10 heavily weighted to one or two panels, which I think  
11 it is, and is the, you know, essentially, what is the  
12 mix of the panels, is it the right mix, are we being  
13 as helpful as we can to HCFA in a sense with that  
14 type of panel structure and Executive Committee  
15 structure. I understand what you're getting here  
16 with this is to use us as sort of a think tank or  
17 policy kind of place to publicly discuss a lot of  
18 very difficult issues, and I agree with that, I think  
19 that is necessary.

20 However, I am just wondering if we are

21 doing the best job we can in terms of facilitating  
22 and expediting and efficiently helping HCFA in terms  
23 of making coverage decisions, so I just want to know,  
24 does this advisory committee process the way it sits  
25 work the best for you.

00202

1                   And you know, I know you only send certain  
2 decisions to MCAC, that is still an unknown entity,  
3 which ones you send and which ones you keep, and that  
4 kind of thing. So this is the first time we have had  
5 a chance publicly to discuss this.

6                   DR. TUNIS: Well, you know, that sounds  
7 like those issues you raise by themselves could be a  
8 topic for a session at a future EC meeting, all those  
9 things, including criteria for what does well to get  
10 send to MCAC and what does well to go for TEC  
11 assessment. I mean, those are decisions that we are  
12 still making on a kind of case by case basis  
13 according to our best judgment about the nature of  
14 the issue, the complexity, the extent of the issue,  
15 et cetera. I think that we're doing a lot of

16 thinking internally and this process is clearly  
17 evolving, the MCAC process, and becoming increasingly  
18 helpful, I think, and sort of synchronous or in sync  
19 with the coverage decision making process within  
20 HCFA.

21 I think we have just had a call for  
22 nominations on MCAC members, there was an  
23 extraordinary number of good candidates, and we're  
24 actually now talking a lot internally about what  
25 sorts of composition of, you know, how the

00203

1 composition of the panels might evolve, given the  
2 terms that are expiring and new folks that are  
3 available.

4 So, I think the process is working well,  
5 it's continuing to work better, and I am hoping  
6 obviously that the Executive Committee can kind of be  
7 working more with us in an iterative fashion to make  
8 the whole process even work better by addressing the  
9 kinds of questions that you just raised, because I  
10 don't think they are entirely questions for just me  
11 or HCFA, they are questions for you all as well.

12 DR. MCNEIL: I mentioned this following  
13 question to Sean before we started this morning and I  
14 don't know if it falls under the question, but if it  
15 doesn't, stop me.

16 And the issue is the following: We have  
17 been talking about coverage for technology in this  
18 particular context for which the data are either  
19 there or not there and we make a judgment about  
20 whether they are there or not there, and in some  
21 circumstances, like the blood pressure monitoring, we  
22 add on testimonies and say yes, let's go forward with  
23 it.

24 So the other question, and I understand  
25 how we can fine tune what seems like a pretty good

00204

1 process already, but the other question is, is there  
2 ever a time when HCFA is going to be considering  
3 doing conditional coverage pending data for something  
4 that's just the hottest new thing off the pipeline,  
5 and whether that should be part of the deliberations  
6 of this committee, whether we would be any use to

7 HCFA in that regard, or whether there are other  
8 people who would be better, or whether they would  
9 like to do it all themselves, or whether the entire  
10 issue is moot.

11 DR. HOLOHAN: Sound familiar?

12 DR. TUNIS: Well, it deserves a lot of  
13 discussion at a future meeting. I think everybody,  
14 there's a lot of folks incredibly interested in come  
15 variation of conditional coverage or coverage under  
16 protocol, or some way of getting past this catch 22  
17 of you can't learn about something until it's  
18 covered, and you can't cover it until you have  
19 learned about it, so I think that there's a lot of  
20 interest in that.

21 Just in effect, we do have some  
22 quasiconditional coverage capabilities, although they  
23 don't have a lot of teeth to them to be honest, which  
24 is, we can cover something based on less than ideal  
25 evidence that you would want in a perfect world, and

00205

1 we have the ability to reconsider coverage at any  
2 time. Now, you know, the truth is to withdraw

3 coverage is a whole different animal than to grant  
4 coverage, but I think the points you raised are good,  
5 and I just think we need a longer period. That's one  
6 of those big issues that probably this group could  
7 discuss.

8 MS. RICHNER: I didn't set her up for that  
9 question.

10 DR. SOX: Well, let's continue to work our  
11 way through these suggestions. Updating the interim  
12 guidelines, it seems like we have to do that, the  
13 only question is how to try to be systematic about  
14 it, so that we revisit them periodically and don't  
15 allow them to languish. Any comments or discussions  
16 about that?

17 MS. RICHNER: I have to bring up that one  
18 sensitive paragraph again about never adequate. I  
19 thought we had decided that we would take never out  
20 of there on page 4. It's the one that has been  
21 bothering me for a year and a half. I understand  
22 that paragraph still says that you can use other  
23 controls, but I thought the last time when we

24 discussed this in February that we were going to use  
25 different wording than is never adequate, and I

00206

1 remember it very distinctly.

2 DR. SOX: Well, I think we have the  
3 transcript of that meeting, we need to go back and  
4 look at the transcript. I read about two-thirds of  
5 the transcript very carefully, but I probably didn't  
6 look at the relevant part, it was about another  
7 discussion. I think I would have remembered it, I  
8 think it would have been a real vigorous discussion  
9 if we had it. Alan, do you remember a discussion  
10 about that?

11 DR. GARBBER: Well, we've discussed this on  
12 at least two occasions and my recollection is that at  
13 one point we were going to strike the word never, but  
14 then we had put in, and here I may be confused about  
15 the order in which these things occurred, so I too  
16 would like to look at the transcript, but we put in  
17 the extra language explaining what we meant, and then  
18 left in the never adequate, because we thought that  
19 was circumscribed enough. That was my last

20 recollection, but I would have to admit, that could  
21 be faulty.

22 MS. RICHNER: I don't remember either, I'm  
23 just trying to get back to that one again, because it  
24 always comes out glaringly as such a strong statement  
25 that can be interpreted two different ways.

00207

1 DR. SOX: Barbara?

2 DR. MCNEIL: I'd like to make one  
3 suggestion about this subject. I think we can spend  
4 a lot of time updating these guidelines every single  
5 meeting, and I'm not sure that's the most productive  
6 use of our time. I would like to make a suggestion  
7 that we make an informal deal that maybe every year,  
8 or after so many evaluations or so many new pieces of  
9 data coming in for evaluation that we look at these.  
10 Otherwise, I'm just worried that we are going to  
11 find, I'm going to find some more commas, and Alan is  
12 going to find some more words.

13 DR. SOX: In fact, I believe the thrust of  
14 the suggestions was that as suggestions accumulate,

15 as comments from outside the committee come in, that  
16 we will let them accumulate and at some point,  
17 probably on an annual basis, look at them and  
18 respond.

19               Next item is to allow the Executive  
20 Committee to serve as a forum for discussion of  
21 technical issues, particularly those that might have  
22 an application across panels. In a way, it's sort of  
23 related to updating the interim guidelines, that when  
24 such issues come up that apply to several panels, we  
25 need to have, I believe we need to have them sort of

00208

1 in the formal record of our processes and procedures.  
2 But anyway, that one is open. Alan, do you have a  
3 comment?

4               DR. GARBER: Well, just about the whole  
5 set of things that Sean described. I thought all of  
6 them sounded reasonable and it's hard to imagine us  
7 saying no, the Executive Committee should not  
8 consider these things, because we should be a  
9 sounding board for them, and it might include even  
10 the things like new technologies where there isn't

11 much data, and so on. So these are, it's hard for me  
12 to see any controversy. I think the issue, and this  
13 is really an issue for Sean, is how best to use the  
14 limited time of the Executive Committee. There  
15 should be some prioritization, and it seems to me  
16 that the broad issues that concern the operations of  
17 the panels collectively are the main things that the  
18 Executive Committee should be spending time on, but  
19 beyond that, it's really your call, Sean.

20 DR. TUNIS: So maybe, you know, if there  
21 is a sort of the sense of the panel, of the committee  
22 that sorts of thoughts that we raise in terms of the  
23 function, you know, that all of them seem in the  
24 right spirit in terms of what this committee should  
25 do, then that's fine, and unless people want to make

00209

1 specific comments we'll just go and assume we have  
2 the right idea about what we should use you all for,  
3 and so whatever comes up at a particular time, we  
4 will do that.

5 And maybe the only one that I would just

6 want to sort of get specific endorsement for is  
7 whether you do or don't as a committee feel that this  
8 area of sort of social policy, you know, the large  
9 cost, small benefit, whether you want to avoid those  
10 issues and stay more in the realm of testimony on the  
11 technical, analytical and methodologic issues.

12 DR. HOLOHAN: Who else would address them  
13 though?

14 DR. GARBER: The secretary of HHS.

15 Sean, I think this is sort of vague, and  
16 if you take it to the fullest breadth of what it  
17 might mean, it's overwhelming. And I think that  
18 basically the way we are constituted and they types  
19 of people we have here, we are best at issues of  
20 evaluating evidence, I think.

21 I in particular am very comfortable with  
22 looking at utilizations and those broader issues, but  
23 I don't know whether that's what we're convened to do  
24 as a body. But I don't think you would be likely to  
25 use us inappropriately either. I trust your judgment

00210

1 about that.

2 DR. SOX: If you brought something before  
3 the committee that nobody on the committee had any  
4 real confidence on, that we were functioning as a  
5 citizens panel, that would decrease our credibility,  
6 so I think we should advise Sean that we'd like to be  
7 used but that there ought to be, the topic ought to  
8 be related to areas that we have special confidence  
9 in, but that clearly extend well beyond just  
10 evaluation of evidence.

11 DR. TUNIS: Randel, industry perspective?

12 DR. HOLOHAN: Hal, let's suppose you had a  
13 peculiar circumstance where there were two equally  
14 effective treatments, let's say two forms of the same  
15 pharmaceutical, and one was far more expensive than  
16 the other, and there was no evidence there was any  
17 difference between the two. Do you believe that the  
18 panel or this Executive Committee should ignore that  
19 fact?

20 DR. SOX: Speaking as a private citizen,  
21 no, I don't think we should be. Sean?

22 DR. TUNIS: Well, thinking of you as a

23 private citizen, I don't think you should avoid it  
24 either. Can you say a little more about the  
25 question?

00211

1 DR. HOLOHAN: Let's take a hypothetical  
2 case where a panel is evaluating a technology,  
3 whether a pharmaceutical device, even a procedure or  
4 service, and the data are fairly clear that there are  
5 alternative methodologies of providing that  
6 technology or that service, no evidence of difference  
7 in clinical effectiveness but a striking difference  
8 in the cost. Should the panel and the Executive  
9 Committee ignore that fact? I mean, I understand we  
10 don't want to end up becoming cost accountants or  
11 cost effectiveness experts but --

12 DR. GARBER: God forbid.

13 DR. HOLOHAN: Even though we're called to  
14 do that in our other jobs, should that be ignored  
15 where there is clear evidence of a disparate cost  
16 effectiveness?

17 MS. RICHNER: Go right ahead, Tom.

18 DR. HOLOHAN: I mean, I hate to sound like

19 Rob Brook, but at one of the earlier panel meetings,  
20 he pointed out fairly strongly, as Rob is inclined to  
21 do, you can't ignore this, I mean we can pretend  
22 we're ignoring it but ultimately it can't be ignored.

23 MS. RICHNER: It's never ignored. What  
24 our mandate is essentially to evaluate the technology  
25 and the benefits on health outcomes, and essentially

00212

1 counsel HCFA in terms of how the evidence supports  
2 that. So then beyond that, it goes to HCFA  
3 administratively, they make the decision whether or  
4 not it's to be covered.

5 DR. HOLOHAN: I know how HCFA works,  
6 Randel.

7 MS. RICHNER: Then we have a system where  
8 we have to negotiate for payment on a whole different  
9 side of the equation and in that forum, on the cost  
10 side and the payment side is where those kind of  
11 issues are definitely played out in every possible  
12 way you can imagine. So costs are definitely  
13 considered, there is no question that they are

14 considered, but they are considered on the payment  
15 side, and we fight that battle every day with our  
16 hospitals, with Part A, with Part B, with everyone  
17 else, so all we are doing here is we are an expert  
18 advisory committee here to evaluate whether the  
19 technology is, whether the evidence supports that  
20 technology and that's what we're supposed to do, so  
21 cost is definitely a part of the equation, but it's  
22 something we are not mandated to address  
23 specifically.

24 DR. FRANCIS: We do have a category which  
25 is equally good, but with disadvantages, if you look

00213

1 at the variety of ways of assessing it.

2 MS. RICHNER: Of course there is.

3 DR. FRANCIS: And there are a lot of ways  
4 that various things can have disadvantages, including  
5 that they make people uncomfortable or that they make  
6 people poor.

7 MS. RICHNER: I mean we were discussing it  
8 today with ambulatory blood pressure monitoring, I  
9 mean, what's the issue, is the issue that it's going

10 to be used so widely that it's going to break  
11 Medicare's budget?

12 DR. HOLOHAN: I don't think that was  
13 discussed at all. I think the major issue was the  
14 statement in the panel's report that 13 of the 15  
15 studies of white coat hypertension indicated that  
16 patients with white coat hypertension had worse  
17 outcomes, regardless of their blood pressures at home  
18 or on ambulatory monitoring.

19 DR. SOX: Alan, do you want to comment on  
20 Tom's provocative question?

21 DR. HOLOHAN: Thank you for calling it a  
22 question and not a suggestion.

23 DR. GARBER: I just want to make a simple  
24 point. Obviously the issue of how, where, when,  
25 whether to include costs is a very controversial one

00214

1 and it's not as though we are entirely free of  
2 controversy even ignoring costs. I think at this  
3 point, it is quite clear that there is a lot of  
4 information we can provide that HCFA doesn't easily

5 get by other means, and it includes everything that  
6 Tom mentioned short of costs, which actually you  
7 don't get that much, like whether two treatments are  
8 substantially equivalent, what the criteria are for  
9 that, whether the studies are adequate to even make a  
10 statement about that, and we can do a tremendous  
11 service for HCFA and if your goal is to improve cost  
12 effectiveness, which I agree has not been the charge  
13 of this group in any respect, but if your goal is to  
14 assess cost effectiveness and that's being done  
15 somewhere else in HCFA, we ought to be able to  
16 provide them with very extensive information about  
17 the effectiveness side of the equation and  
18 effectiveness always means comparative effectiveness.

19           And I think we will look to you for  
20 guidance, and you drafted that notice of intent how  
21 long ago was it now, about a year?

22           DR. TUNIS: May 2000, yeah.

23           DR. GARBER: So we'll see what happens  
24 with that, because we are in service of the coverage  
25 and analysis group in the coverage process, you tell

1 us at what point any kind of assessment on the part  
2 of MCAC would be useful in your deliberations.

3 DR. SOX: I think another way of putting  
4 that is that this committee is still only a couple  
5 years old, it probably shouldn't be the point person  
6 in establishing a beach head for costs as a  
7 consideration, that we should stick with the job we  
8 were tasked with. My reaction, Tom, is caution, not  
9 taking on too much, until we have the weight that  
10 would command a real audience, which we don't, we're  
11 not really well established yet.

12 DR. TUNIS: I would agree with all that.  
13 I think obviously the notice of intent, the notion of  
14 added value as a criterion for coverage was floated  
15 and evoked a substantial amount, although no  
16 consensus, but a substantial amount of controversy,  
17 and so I think we don't have clear marching orders  
18 that that's the direction we should be going in terms  
19 of coverage policy making. So I would say what Alan  
20 had to say is right, that there's a lot that this  
21 committee can contribute in terms of focusing on the

22 clinical effectiveness issues and for the time being  
23 that's where we are.

24 DR. SOX: The only other one of these  
25 suggestions that seems to for me at least require

00216

1 some comment is the last one, the one we just did,  
2 which was to essentially help frame the analysis  
3 before it starts. And my concern is that if we limit  
4 our input to topics where sort of timing works well  
5 in respect to our meetings, then we are only going to  
6 be doing some of the problems. On the other hand, if  
7 we take a chance on delaying the process by waiting  
8 until a meeting, then we're introducing delay, which  
9 is not good, and if we're going to take on this task,  
10 we are going to have to find some way to operate  
11 outside the framework of our regular meetings in  
12 order to have this input but at the same time not  
13 slow up the process, so we may be in for some  
14 conference calls for this purpose.

15 DR. GARBBER: Sean, was your intent to use  
16 the Executive Committee to consider every question  
17 sent to panels or to use it selectively when as in

18 the case of PET, there are some fundamental issues  
19 about the structure of the method and the question?  
20 DR. TUNIS: I think it would be selective  
21 and I think there are unusual questions like this  
22 one, although even in this case I think it would have  
23 been lovely to have had this meeting a month ago to  
24 go over this. So I think Hal's notion of if there is  
25 some thinking about some flexibility in terms of how

00217

1 we get input and when issues like this arise, you  
2 know, in terms of conference calls as opposed to  
3 meetings, we have obvious problems in relation to  
4 FACA compliance, so it's not clear that that's going  
5 to work for us, so we'll have to think it through.

6 DR. SOX: Well -- I'm sorry, Bob?

7 DR. MURRAY: We talked earlier today about  
8 the process that involved review of the evidence  
9 report and appointment of content experts to assist.  
10 I would be comfortable, speaking as the vice chair of  
11 the laboratory panel, if another member of the  
12 Executive Committee could serve as a content expert

13 or could assist with review of the evidence report to  
14 involve selective or certain members, one or the  
15 other member of the Executive Committee in the  
16 process leading up to consideration by the full panel  
17 when the panel meets, so that there is some Executive  
18 Committee involvement prior to the panel  
19 consideration.

20 DR. SOX: Good suggestion.

21 DR. MURRAY: I see that as just an  
22 expansion of Sean's reference to framing the  
23 question.

24 DR. SOX: Sean, any further? Have we  
25 addressed your questions about whether we think these

00218

1 are good functions for the EC?

2 DR. TUNIS: I do have a sense of it and I  
3 think maybe we'll write up some document that tries  
4 to sort of lay these out, and circulate it and make  
5 it sort of a more formal kind of a mission and  
6 functional statement for the post-BIPA Executive  
7 Committee.

8 DR. SOX: Well, before closing the

9 meeting, and asking for a motion to adjourn, I just  
10 want to note that Connie is going to be stepping down  
11 as our executive secretary, and as I gather, you're  
12 going to be actually leaving government service after  
13 30 years at HCFA, which meant that you were here only  
14 about seven years after HCFA actually started  
15 Medicare legislation.

16               So we want to thank you, and offer you our  
17 best wishes for the next happy life, whatever it may  
18 be.

19               MS. CONRAD: Thank you, Hal, and all  
20 members, I certainly enjoyed working with all of you.

21               (Applause.)

22               DR. SOX: Any other new business or  
23 overlooked business? And if there isn't any, I will  
24 ask for a motion to adjourn.

25               DR. MURRAY: I don't know if this is the

00219

1 appropriate forum for asking the question, but I  
2 noticed that actually Sean referenced an announcement  
3 in the Federal Register, I think it was April 30th,

4 requesting nominations. Do we have any idea how long  
5 our term on the committee extends or are we going to  
6 get any advance notice, or just suddenly we don't get  
7 an invitation to come?

8 DR. HOLOHAN: No, they just won't pay your  
9 travel claim. That's how you know.

10 MS. CONRAD: We have a complete list of  
11 expiration dates of each member's term. Some of  
12 those terms have already expired and they are still  
13 here. The term of service continues until a  
14 replacement is named, and certainly we would not do  
15 that without telling anybody.

16 DR. SOX: I do think it's important for us  
17 to get some idea, because many members of the  
18 committee have other opportunities to serve and may  
19 take or not take depending on what other things  
20 they're doing, so if they know they're coming off, it  
21 helps in planning.

22 MS. CONRAD: I can do that.

23 DR. SOX: Motion to adjourn?

24 DR. HOLOHAN: So move.

25 DR. MURRAY: Second.

00220

1 DR. SOX: We are adjourned. Thank you.

2 (Whereupon, the meeting adjourned at 3:38

3 p.m.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20